

STATE OF VERMONT
GREEN MOUNTAIN CARE BOARD

DOCKET NUMBER GMCB-006-20rr

VERMONT HEALTH CONNECT RATE REVIEW HEARING
(MVP Health Plan, Inc.)

July 21, 2020
8 a.m.

Hearing held remotely before the Green Mountain
Care Board via Microsoft Teams on July 21, 2020,
beginning at 8 a.m.

P R E S E N T

BOARD MEMBERS: Kevin Mullin, Chair
Maureen Usifer
Jessica A. Holmes, Ph.D.
Robin Lunge, JD, MHCDS
Tom Pelham

STAFF: Michael Barber, Hearing Officer
Susan Barrett, Executive Director
Amerin Aborjaily, Associate
General Counsel

CAPITOL COURT REPORTERS, INC.
P.O. BOX 329
BURLINGTON, VERMONT 05402-0329
(802/800) 863-6067
E-mail: info@capitolcourtreporters.com

A P P E A R A N C E SMVP Health Plan, Inc.

Primmer Piper Eggleston & Cramer PC
30 Main Street - P.O. Box 1489
Burlington, VT 05402-1489
BY: GARY F. KARNEDY, ESQUIRE
RYAN M. LONG, ESQUIRE
MICHELLE T. BENNETT, ESQUIRE

Office of the Health Care Advocate

JAY ANGOFF, ESQUIRE
KAILI KUIPER, ESQUIRE
ERIC SCHULTEIS, ESQUIRE

VT Department of Financial Regulation

GAVIN BOYLES, ESQUIRE

INDEX OF EXAMINATION

<u>Opening Statements</u>	<u>Page</u>
Gary Karnedy	14
Jay Angoff	15
<u>Witness</u>	
Matthew Lombardo	19
Direct Examination by Mr. Karnedy	19
Cross Examination by Mr. Angoff	82
Board Questions	103
Jesse Lussier	141
Cross Examination by Mr. Karnedy	144
Cross Examination by Mr. Angoff	149
Jacqueline Lee	151
Direct Examination by Ms. Aborjaily	151
Cross Examination by Mr. Karnedy	161
Cross Examination by Mr. Angoff	188
Board Questions	197
Redirect Examination by Ms. Aborjaily	205
Recross Examination by Mr. Karnedy	211
Michael Fisher	212
<u>Closing Statements</u>	
Gary Karnedy	216
Jay Angoff	219

EXHIBITS

<u>MVP</u>	<u>Admitted</u>
1-33	11
D-G	11
<u>HCA</u>	
A-C	13

1 CHAIRMAN MULLIN: So good morning
2 everyone. I believe it is the 8 o'clock hour and
3 we'll get started. First things first. I want to
4 announce that at 4:30 today there will be a public
5 hearing, and if we have any time at the end of
6 today's hearing, which it will probably be unlikely,
7 we could take some comment at the end of this
8 hearing, but it might be more appropriate for people
9 to join into the time period specifically allotted
10 for public comment.

11 Today's focus is the MVP QHP filing and
12 for the purposes of today's hearing I am hereby
13 appointing Michael Barber the Hearing Officer, and at
14 this time I will turn over the meeting to Mike.

15 MR. BARBER: Thank you, Mr. Chair. Good
16 morning. As you heard I've been designated by the
17 Board Chair to serve as the Hearing Officer for
18 today's hearing. The purpose of this hearing is to
19 take evidence and argument on MVP Health Plans 2021
20 individual and small group rate filing. The Docket
21 Number for this case is GMCB-006-20-rr. The GMCB has
22 jurisdiction over this matter pursuant to Title 18 of
23 the Vermont Statutes Annotated Section 9375 as well
24 as Title 8 of the Vermont Statutes Annotated Section
25 4062.

1 Representing MVP today are Gary Karnedy,
2 Ryan Long, and Michelle Bennett of the law firm
3 Primmer Piper Eggleston & Cramer. Representing the
4 Office of the Health Care Advocate are Jay Angoff,
5 Kaili Kuiper, and Eric Schultheis, and also want to
6 recognize the Board's Associate General Counsel
7 Amerin Aborjaily. She will be conducting the Board's
8 direct examination of the Board's experts. Gavin
9 Boyles is also on the line I saw, General Counsel for
10 Department of Financial Regulation.

11 Because we are holding the hearing
12 remotely today before I go any further I want to make
13 sure all of the Board Members, all the attorneys for
14 the parties, can hear okay and can be heard by
15 everyone. So just going to do a quick roll call. If
16 I call your name if you could just let me know if
17 your system is working okay. Mr. Chair, we already
18 heard from you. Board Member Holmes.

19 MS. HOLMES: Yes.

20 MR. BARBER: Board member Lunge.

21 MS. LUNGE: Yes.

22 MR. BARBER: Board member Ucifer.

23 MS. USIFER: Yes.

24 MR. BARBER: Board member Pelham.

25 MR. PELHAM: Yes.

1 MR. BARBER: Amerin.

2 MS. ABORJAILY: Yes.

3 MR. BARBER: Mr. Karnedy.

4 MR. KARNEDY: Yes and I have, watching
5 on the screen next to me, Attorney Long and Attorney
6 Bennett.

7 MR. BARBER: Great. Mr. Angoff.

8 MR. ANGOFF: Yes, sir.

9 MR. BARBER: Mr. Schultheis.

10 MR. SCHULTHEIS: Yes.

11 MR. BARBER: And Ms. Kuiper.

12 MS. KUIPER: Yes.

13 MR. BARBER: Great. So I would like --

14 CHAIRMAN MULLIN: Before we get started
15 I didn't announce it today, but I did announce it
16 yesterday, I know it's a little bit cooler, but I'm
17 setting the example by not wearing a jacket
18 recognizing the summer heat. So Gary and Matt, if
19 you wish to take yours off, feel free. Sorry, Mr.
20 Hearing Officer.

21 MR. BARBER: Appreciate that. I was
22 sweating all day yesterday even without the jacket.

23 MR. KARNEDY: If the Chair could take
24 judicial notice it will accelerate my examination in
25 return for this favor.

1 MR. BARBER: So as we've discussed if at
2 any point you get dropped from the call, you have my
3 cell phone number, please text me. I'll pause the
4 hearing, allow you to get back on. Yesterday we were
5 on for a long time. We didn't really have any
6 technical issues. I'm hoping things will go smoothly
7 today.

8 We are recording today's proceedings via
9 the Teams app. We also have a court reporter here,
10 Ms. Carson, to transcribe the proceedings. I should
11 have checked earlier, but, Ms. Carson, are you on?

12 (Off-the-record discussion.)

13 MR. BARBER: It looks like we have 37
14 attendees in the meeting, most of whom I can see are
15 here. We have a couple phone numbers. The Board has
16 been basically doing a roll call for its board
17 meetings. Since people may be coming and going
18 throughout today's proceedings I don't think we need
19 to do that. Does anyone think we need to take a roll
20 call attendance of people who are here on the phone?
21 (No response.) No. Okay.

22 So for any members of the public who are
23 present, like the Chair said, we will be taking
24 public comment at the close of the proceedings today,
25 however, it's unclear when that will be. So I can't

1 say when we'll get to the public comment portion of
2 the meeting, and if you don't want to sit through
3 what's going to be hours of testimony, we have a
4 meeting this afternoon from 4:30 to 6:30 that is
5 dedicated exclusively from hearing from the public on
6 this filing and the other individual and small group
7 rate filing for Blue Cross/Blue Shield of Vermont.
8 Information for how to participate in that meeting
9 can be found by going to the GMCB's web site and
10 clicking on the rate review tab. Additionally, you
11 can submit written comments to the Board via our web
12 site or by regular mail. We'll be taking public
13 comments on this filing through July 23rd. Please do
14 not use the chat function of Teams if you're on the
15 computer. That's going to be very distracting for
16 all of us.

17 We already did the microphone check,
18 muting check. So the last thing before we begin I
19 just want to remind the Board and the parties to
20 exercise caution regarding any information in the
21 binders that has been marked confidential. These
22 matters can't be discussed in the public setting.
23 The parties have marked documents that contain
24 confidential materials as confidential in the hearing
25 binders and, Mr. Karnedy, I wonder if you could just

1 explain to the Board how the material is designated
2 because there's a bit of a difference between the way
3 MVP did it this year and the way Blue Cross Blue
4 Shield did it, just to remind the Board how it's set
5 out in the binders.

6 MR. KARNEDY: I'm happy to do that. I
7 can go through the exhibit list and explain that when
8 Mr. Lombardo is on the stand. I can do it at that
9 time if you like.

10 MR. BARBER: Okay. So let's get into
11 the exhibits. We received the binders on July 16th
12 with 33 Bates stamped exhibits. I understand the
13 parties have stipulated to the admissibility of these
14 documents. The binder also contains three exhibits
15 marked HCA A through C that I understand the parties
16 have not stipulated to, and on Friday afternoon we
17 received four additional exhibits from MVP labeled D
18 through G that I understand the parties have
19 stipulated to as well. Am I understanding all that
20 correctly?

21 MR. KARNEDY: Yes.

22 MR. BARBER: I just want to check to
23 make sure the Board Members have all those documents.
24 Do you have the documents readily available that came
25 in on Friday exhibits D through G? (No response.)

1 Does anyone not I guess? (No response.) Looks like
2 everyone does. Okay.

3 Mr. Karnedy, I do have a question about
4 exhibit G which is the solvency opinion for Blue
5 Cross Blue Shield of Vermont. Can you tell me why
6 the majority of that document has been redacted?

7 MR. KARNEDY: I think it goes -- we
8 basically have left two paragraphs where the
9 Commissioner discusses some uncertainty about
10 returning premium. That's all we wanted to ask about
11 and I thought it would be inappropriate to have the
12 balance of information about Blue Cross Blue Shield's
13 solvency in this hearing. That has nothing to do
14 with this hearing.

15 MR. BARBER: Okay. Do you have
16 unredacted copies available should the testimony or
17 the questioning require that?

18 MR. KARNEDY: We can certainly get
19 those. My expectation is that anything beyond those
20 two paragraphs wouldn't be relevant to these
21 proceedings and I would probably object to that, but
22 we can certainly -- our team can get copies of those
23 certainly.

24 MR. BARBER: Okay. So I'm assuming
25 neither party has any objection to me admitting

1 exhibits 1 through 33 and exhibits D through G at
2 this time; is that correct?

3 MR. KARNEDY: Correct.

4 MR. ANGOFF: No objection.

5 MR. BARBER: Okay. I will do that at
6 this time.

7 (Exhibits marked MVP 1-33 and D-G were
8 admitted into the record.)

9 MR. BARBER: So that leaves exhibits A
10 through C. Mr. Angoff, how do you plan to introduce
11 documents A through C?

12 MR. ANGOFF: Mr. Hearing Officer, I'm
13 sorry, I'm unfamiliar with those exhibits. Maybe Mr.
14 Schultheis or Ms. Kuiper can address that.

15 MR. SCHULTHEIS: Sure. We would like to
16 move the Board to take administrative notice of
17 exhibits A through C. Both -- all these documents
18 are statistics provided by the U.S. Bureau of Labor
19 Statistics. They are relevant because they speak to
20 the price shocks that Vermonters are currently
21 experiencing. Unlike in the past we felt that
22 because the coronavirus economic fallout is evolving
23 so rapidly that we wanted to provide monthly data so
24 we could get a sense of what things are looking like
25 in May and June, and that yearly estimates were not

1 going to be helpful to the Board.

2 MR. BARBER: Mr. Karnedy, do you have
3 any objection to me taking administrative notice?

4 MR. KARNEDY: I do. All three exhibits
5 reference percent changes in the CPIU for all urban
6 consumers, urban consumers, and exhibit A in fact,
7 documentation goes along with it, indicates a
8 technical note that not including the CPI or the
9 spend patterns of people living in rural
10 non-metropolitan areas, farming families, et cetera.

11 So the general objection is this data
12 relates to people who live in the city. There are --
13 I love Vermont, but there aren't really any urban
14 areas and this just isn't relevant to Vermonters, and
15 I would add that we've stipulated to a number of
16 other exhibits some of which come from the Vermont
17 Department of Labor. So I don't think there's any
18 relevance.

19 MR. SCHULTHEIS: Just for clarity our
20 position is that CPI is a widely accepted statistic.
21 Unfortunately these numbers are not released for at a
22 state level nor are they released by the State of
23 Vermont. You know this is a known drawback of
24 inflation numbers, and I think rather than exclude
25 the evidence for that, we have relatively

1 knowledgeable triers of fact here and they can give
2 the evidence the weight it deserves.

3 MR. KARNEDY: Last point on that. I
4 think using your common sense these shouldn't go in.
5 If you buy a cup of coffee or a beer in Vermont,
6 that's a totally different experience than buying a
7 beer down in New York City. So using your common
8 sense I just don't think that this data is relevant.

9 MR. BARBER: Beyond relevance is there
10 any objection to the admissibility of the documents
11 in terms of authentication, things like that?

12 MR. KARNEDY: No. Absolutely not.

13 MR. BARBER: Okay. So I'm going to
14 admit them. I do think they are relevant to the
15 issue of affordability and the weight they should be
16 afforded is a question the Board can figure out. So
17 I'm going to admit exhibits A through C at this time.

18 (Exhibits marked HCA A-C were admitted
19 into the record.)

20 MR. BARBER: So is there anything we
21 need to address before we get into opening
22 statements?

23 MR. KARNEDY: I don't believe so.

24 MR. BARBER: Mr. Angoff.

25 MR. ANGOFF: No sir.

1 MR. BARBER: Okay. Then Mr. Karnedy,
2 would you like to make an opening statement?

3 MR. KARNEDY: Yes. Thank you very much.
4 My name is Gary Karnedy. My law firm Primmer Piper
5 Eggleston & Cramer represents MVP again this year in
6 the 2021 rate filing. 2020 has been an extraordinary
7 year with this pandemic. MVP, Department of
8 Financial Regulation, and this Board have risen to
9 the challenge of addressing the complexities of COVID
10 and the world health. For this 2020 rate filing the
11 evidence will show that the actuaries for L&E and MVP
12 are in agreement on 15 out of 16 factors L&E
13 identified in its memorandum. The evidence will show
14 that MVP is now seeking an increase of 6.06 percent.
15 The evidence will show that L&E has recommended a
16 rate increase of 5.38 percent. This difference is
17 around .6 percent depending on rounding.

18 The one issue of disagreement this year
19 is the extent to which COVID will impact rates in
20 2021. The evidence will show that both L&E and MVP
21 recognize that due to COVID disruptions even higher
22 premium increases may be required than what they
23 propose. As to the .6 difference they disagree by
24 about .3 on whether pent-up demand for surgeries will
25 impact the rate. They disagree by about .3, so the

1 other half, on how COVID vaccinations will impact the
2 rate, they disagree on the extent of the impact and
3 how to account for a rate increase.

4 We believe L&E is correct on 15 out of
5 16 of its rate factor opinions which amounts to 94
6 percent, which is an A but it's not A plus. This
7 disputed issue is very important. MVP's conclusions
8 on COVID-19 are actuarially sound and reasonable. We
9 believe MVP is making tough calls this year measuring
10 risk uncertainty based on sufficient data and
11 expertise which is what actuaries get paid to do.

12 We believe the weight of the evidence
13 and the common sense of the Board in considering
14 these issues will result in adoption of MVP's 6.06
15 percent rate increase request and rejection of L&E's
16 rationales on this one rate factor difference.

17 MR. KARNEDY: Thank you very much.

18 MR. BARBER: Thank you, Mr. Karnedy.

19 Mr. Angoff, do you have an opening statement?

20 MR. ANGOFF: Yes I do. Good morning,
21 Mr. Hearing Officer. Good morning, Mr. Chair and
22 Members of the Board. This year for the first time
23 we're asking the Board to give the benefit of the
24 doubt to the policyholder. This is not what MVP does
25 and it's not MVP's job to give the benefit of the

1 doubt to the policyholder. Clearly that's not what
2 L&E has done either. You remember last year L&E said
3 MVP wasn't asking for enough money, that they should
4 be charging Vermonters more. So we're asking to
5 ignore what MVP asked for.

6 So what we're asking the Board to do is
7 for the first time to give the benefit of the doubt
8 to the policyholder and we're asking that for three
9 reasons. First, this year people obviously are
10 suffering more than they ever have before because of
11 the Coronavirus pandemic.

12 Second, unlike Blue Cross, MVP's surplus
13 is not an issue in this case. No matter what the
14 increase or decrease the Board orders for MVP this
15 year it will have a minimal, nominal effect on MVP's
16 surplus. That doesn't mean that the Board -- that
17 doesn't mean that the Board should disregard the
18 facts. Of course not, but if there's a range, and
19 there always is a range, the Board should err on the
20 side of giving the benefit to the policyholder not to
21 MVP.

22 Third, there's so much uncertainty this
23 year. Blue Cross has said it, MVP has said it, we'll
24 continue to say with so much uncertainty, again,
25 that's another reason that the Board should give the

1 benefit of the doubt to the policyholder.

2 Second point I would like to make. The
3 amount that MVP has paid out in 2020, it's already
4 got six months of paid claims data, it is very, very
5 important both in determining what the rate increase
6 or decrease should be for 2021, also in determining,
7 assuming the Board believes it has this power and I
8 don't know whether it does or not, but if the Board
9 believes that it has this power, it should consider
10 whether to order a rebate for 2020 rates in
11 connection with 2021 rates. So I just ask the Board
12 to look carefully at the data that MVP has disclosed
13 about their paid claims in 2020 and look equally
14 carefully at the analysis that L&E has done regarding
15 those paid claims and regarding what it thinks those
16 paid claims will ultimately result in, in 2020 and to
17 make its own decision as to the level of increase and
18 decrease for 2021 and whether or not to order a
19 rebate for 2020.

20 Third point. MVP gives us all a
21 terrific opportunity to see how much difference there
22 is between Vermont, which has done the best job,
23 which has the fewest coronavirus cases in the country
24 and New York which has the most. So I think it's
25 very important to look at the assumptions that MVP

1 has made regarding how the coronavirus will affect
2 claims in Vermont and compare those to the
3 assumptions it's made as to how the coronavirus will
4 affect claims in New York.

5 So finally I would just like to conclude
6 by saying -- by emphasizing again please give the
7 benefit of the doubt. There's always a range.
8 There's no one perfect one correct assumption for any
9 of it. Please give the benefit of the doubt to the
10 policyholder. If MVP has disclosed sufficient data
11 that you believe it has made a clear and convincing
12 case that its assumptions should be adopted by the
13 Board, then adopt that assumption, but if MVP has not
14 disclosed sufficient data to allow the Board to
15 conclude that it has made that clear and convincing
16 case, please give the benefit of the doubt to the
17 policyholder. Thank you very much.

18 MR. BARBER: Thank you, Mr. Angoff. I'm
19 going to check on Tom Pelham. Maybe he had a bird
20 fly in the stove again, but I don't see him on video.
21 Are you still there? You are. Okay. Okay. Mr.
22 Karnedy, would you like to call your witness?

23 MR. KARNEDY: MVP calls Matt Lombardo
24 please.

25 MR. BARBER: Let's all just take a

1 minute to pin Mr. Lombardo if that's what you're
2 doing -- I'm doing. Okay. Mr. Lombardo, ready to
3 take the oath?

4 MR. LOMBARDO: Yes.

5 MR. BARBER: Will you please raise your
6 right-hand?

7 MATTHEW LOMBARDO,

8 Having been duly sworn, testified
9 as follows:

10 MR. BARBER: Okay. Mr. Karnedy.

11 MR. KARNEDY: Thank you.

12 DIRECT EXAMINATION

13 BY MR. KARNEDY:

14 Q. Good morning, Matt.

15 A. Good morning.

16 Q. Bright and early. Would you please state your
17 full name?

18 A. Matthew Lombardo.

19 Q. And, Matt, who is your employer?

20 A. MVP Health Care.

21 Q. And as I understand it this rate filing is MVP
22 Health Plan, Inc., correct?

23 A. Correct.

24 Q. What is the relationship between those two
25 entities?

1 A. MVP Health Plan, Inc. is the non-profit HMO
2 legal entity under the MVP Health Care umbrella.

3 Q. And what's your position at MVP?

4 A. Senior Leader of Actuarial Services.

5 Q. And do you have any professional memberships
6 or certifications?

7 A. I'm a Fellow in the Society of Actuaries and
8 I'm a member of the American Academy of Actuaries.

9 Q. And how long have you worked in the health
10 care insurance industry?

11 A. About 15 years.

12 Q. How many years at MVP?

13 A. 12 and a half years approximately.

14 Q. And can you tell the Board your involvement in
15 Vermont rate filings for MVP and the GMCB?

16 A. Yes. So since the ACO rolled out in 2014 I've
17 overseen these small individual Vermont emerging market
18 rate filings. So this is the seventh rate filing --
19 eighth rate filing I'm working on.

20 Q. And what are your job duties at MVP?

21 A. In addition to pricing, setting premium rates,
22 I'm responsible for forecasting our state programs in New
23 York and commercial lines of business, reserving our IBMR,
24 financial competitive intelligence. I oversee value based
25 arrangements and strategic issues.

1 Q. Matt, would you turn to the exhibit binder
2 please and go to the exhibit list?

3 A. Okay.

4 Q. What I want to do is just walk through these
5 exhibits. They are all in evidence now, but to acclimate
6 everyone to what we have and what you have knowledge of.
7 Okay?

8 A. Okay.

9 Q. So if you look at exhibits 1 through 7 on the
10 list that includes MVP's rate filing responses to
11 objections, and you'll also note that, for example,
12 exhibit 2 has a 2A which represents confidential. So the
13 lettered exhibits are the confidential versions, the
14 complete versions of the exhibits, correct?

15 A. Correct.

16 Q. And you're familiar with 1 through 7, correct?

17 A. Correct.

18 Q. And exhibit 8 is your CV that you prepared,
19 correct?

20 A. Correct.

21 Q. Exhibit 9 is your July 7 prefiled testimony,
22 correct?

23 A. Correct.

24 Q. You're familiar with it, right?

25 A. Yes.

1 Q. And exhibit 10 is the L&E actuarial opinion
2 July 7th, memorandum, correct?

3 A. Correct.

4 Q. You have reviewed that and are familiar with
5 it?

6 A. Yes.

7 Q. Exhibit 11 is the DFR solvency analysis letter
8 that relates to MVP, correct?

9 A. Correct.

10 Q. And you've reviewed that and you're familiar
11 with it?

12 A. Yes.

13 Q. And exhibit 12 is an actuarial standard of
14 practice number 26, correct?

15 A. Correct.

16 Q. You have read that and are familiar with it?

17 A. Yes.

18 Q. And exhibit 13 is MVP's calculation of L&E's
19 July 7th actuarial memorandum rate impact, correct?

20 A. Correct.

21 Q. And you prepared that and are familiar with
22 it, right?

23 A. Yes.

24 Q. And then exhibit 14 is MVP's supplemental
25 prefiled testimony. You authored that and are familiar

1 with it, correct?

2 A. Correct.

3 Q. And then exhibit 15 is prefiled testimony of
4 Jackie Lee, Ms. Lee, of L&E, correct?

5 A. Correct.

6 Q. And you have read that and are familiar with
7 it?

8 A. Yes.

9 Q. And then if you would please go to the third
10 page, third page of the exhibit list, you'll see -- well
11 let me know when you're there, Matt.

12 A. I'm there.

13 Q. You see a heading MVP Health Plan, Inc. Do
14 you see that? There's lettered exhibits D, E, F, G.

15 A. Yes.

16 Q. So exhibit D that's the DFR emergency rule on
17 COVID, correct?

18 A. Correct.

19 Q. And you're familiar with that?

20 A. Yes.

21 Q. And exhibit E is a draft -- it says draft, but
22 a draft of terms from DFR, correct?

23 A. Correct.

24 Q. And then skip over F. Exhibit G is the DFR
25 letter regarding Blue Cross Blue Shield this year which

1 has been redacted and has those two paragraphs Hearing
2 Officer Barber and I discussed, correct?

3 A. Correct.

4 Q. And you're familiar with those two paragraphs,
5 right?

6 A. Yes.

7 Q. So these exhibits that we just went over that
8 we reviewed it includes statements from MVP, as to those
9 you reviewed them or are familiar with them and adopt them
10 as your testimony, correct?

11 A. Correct.

12 Q. And as we've done in prior years the bottom
13 right-hand corner of the exhibits should be colored
14 numbered pages and as we go through this I'll do my best
15 to reference those page numbers. If you could do the
16 same.

17 A. Okay.

18 Q. Okay, Matt, so first I want to start with an
19 explanation of the rate increase at a high level. What
20 was the original request for a rate increase -- MVP's
21 original filing?

22 A. For the filing we submitted on May 8th MVP
23 requested 7.34 percent increase to our 2020 rates.

24 Q. Okay, Matt, would you please go to exhibit 10
25 in the binder? Exhibit 10 is L&E's memorandum, and if you

1 go to page 17 please?

2 A. Okay. I'm there.

3 Q. You see there's two tables on this page. I
4 want to focus on the one at the bottom that's entitled
5 Components of 2021 Recommended Rate Increase. Do you see
6 that?

7 A. Yes.

8 Q. And the bottom right-hand corner it shows
9 L&E's recommendation of a total rate change of what
10 amount?

11 A. L&E's recommended rate change is approximately
12 5.5 percent.

13 Q. And thank you. So if you would go to exhibit
14 13 please -- exhibit 13.

15 A. Okay.

16 Q. On page 2 there's a MVP calculation of L&E's
17 Actuarial Memorandum Rate Impact. Do you see that, the
18 title of the document?

19 A. Yes.

20 Q. So as I understand it this year the Board
21 asked MVP to check the math, check the calculation of
22 L&E's assumptions, and run it through the rate filing to
23 confirm that their 5.5 number as a matter of math is
24 accurate. Is that a fair summary?

25 A. Yes.

1 Q. And what does this memorandum exhibit 13 show?

2 A. So L&E's opinion had approximate rate changes
3 and there were three changes that were made. So
4 everything was an approximation. MVP took those
5 recommendations from L&E's opinion and put them into our
6 actual rate filing to see what the actual calculated
7 amount was removing the approximation. The result was
8 that the rate increase based on L&E's recommendations
9 would actually be 5.38 percent not 5.5 as they had
10 estimated.

11 Q. So that's not changing any of their
12 assumptions or questioning anything they have done, it's
13 just double-checking their math; is that right?

14 A. That's right.

15 Q. Would you please go to exhibit 15 and this is
16 the prefiled testimony of Jacqueline Lee.

17 A. Okay.

18 Q. And go to page number 7 please.

19 A. Okay.

20 Q. And are you familiar with -- on page 7 there's
21 two Q&A's. Are you familiar with those?

22 A. Yes.

23 Q. What is Ms. Lee saying here about the change
24 to the 5.38?

25 A. In row 10 of this exhibit on this page she

1 says we believe that 5.3 percent is a reasonable
2 computation of the impact of our recommended modifications
3 because our calculation was based on estimates of numbers
4 provided by MVP. We rely on the calculation of 5.3
5 percent as they have all the specific figures and formulas
6 to determine the rate change more accurately.

7 Q. Matt, I couldn't hear you clearly because of
8 age, but it sounded like you said 5.3. Doesn't it say
9 5.38?

10 A. It does say 5.38.

11 Q. So we have agreement with L&E on the math to
12 5.38, correct?

13 A. Correct.

14 Q. Would you go back to exhibit 10 please?

15 A. Okay. I'm there.

16 Q. And that on page 10 that table we were looking
17 at, the recommended rate increase table, at the bottom --

18 A. I'm there.

19 Q. -- how many rating components did L&E
20 identify?

21 A. 16.

22 Q. Okay, and again this is high level at this
23 point. Of those 16 how many did they identify changes on
24 where MVP's rate should be reduced in their opinion?

25 A. Well they identified three changes; two of

1 them are reductions, one of them is actually an immaterial
2 increase.

3 Q. Okay. Is the first change item 4?

4 A. Yes.

5 Q. And does that relate to the COVID disagreement
6 we had -- respectful disagreement we had?

7 A. Yes it does.

8 Q. And item 10 looks like another change --
9 excuse me. What's the amount approximately of the
10 COVID-19 dispute on item 4?

11 A. Approximately 0.6 percent.

12 Q. And then item number 10 says change to risk
13 adjustment, correct?

14 A. Correct.

15 Q. And that's a change of approximately what?

16 A. 1.1 percent.

17 Q. And do we agree with that adjustment?

18 A. Yes.

19 Q. Okay. We'll talk about that in more detail
20 later, and then there's an item 12. This may have been
21 what you were making reference to. Can you explain item
22 12 and the footnote and whether this is material?

23 A. Sure. I would start by saying it's an
24 immaterial amount. It's 0 -- it's .02 percent and what
25 this represents is all the plan designs submitted have to

1 be medal level compliant per regulations. One of the plan
2 designs submitted by MVP, which is under review by DFR,
3 was out of compliance with the bronze medal level. As a
4 result through the former view we had to make a
5 modification to the plan design which resulted in an
6 increase in benefits. That increase in benefits has an
7 overall rate impact of .02 percent.

8 Q. So let's go to the bottom line. Go to exhibit
9 14 please.

10 A. Okay.

11 Q. And this is your supplemental prefiled
12 testimony that was filed after you got L&E's report,
13 correct?

14 A. Correct.

15 Q. If you would go -- you see how there's Q&A's.
16 You go to Q&A 4 which is on page 3.

17 A. Okay.

18 Q. If you could refer to that, my question is
19 what -- can you describe to the Board as a result of the
20 agreement with L&E what the reduction is from our original
21 filing?

22 A. Sure. As you referenced earlier we agree with
23 the risk adjustment change and the change in the actuarial
24 value. We disagree with the recommendation for changes to
25 our COVID assumption. So if we take L&E's recommended

1 changes for risk adjustment and the actuarial value we
2 arrive at 1.28 percent reduction from the 7.34 percent
3 proposed increase for an ultimate rate request of 6.06
4 percent.

5 Q. Difference between L&E and MVP is .6, correct?

6 A. Approximately .6 percent.

7 Q. If you would go back please to exhibit 10,
8 L&E's memorandum, exhibit 10.

9 A. Okay.

10 Q. Go to page 16 please.

11 A. Okay.

12 Q. And do you see there's a section
13 recommendations and there's five bulleted items below. Do
14 you see that?

15 A. Yes.

16 Q. So again this is high level just to identify
17 issues for the Board we'll talk about in more detail. The
18 first item references considering updated hospital budget
19 information. Do you see that?

20 A. Yes.

21 Q. And we have general agreement on that?

22 A. Yes.

23 Q. And the second item we talk about, that's the
24 COVID adjustment, that's where we have disagreement,
25 correct?

1 A. Correct.

2 Q. And what is the third item and do we have
3 agreement on that?

4 A. The third item relates to the Unified Rate
5 which is the federal platform called the URRT.
6 Essentially L&E in the instructions for the URRT it
7 directs carriers to place the net reinsurance factor into
8 a different location than where MVP put it. We agree with
9 L&E that we should move it. It has no impact on the
10 actual rates.

11 Q. And the next item is the updated risk
12 adjustment you have already referenced and we'll talk
13 about, correct?

14 A. Correct.

15 Q. And then the final item is updated actuarial
16 value. Is that what you just discussed the non-material
17 .02?

18 A. Yes.

19 Q. As you sit here today on the 16 factors we
20 agree on 15 of them, correct?

21 A. That's correct.

22 Q. All right. So let's talk about where what we
23 don't agree on and that would be the COVID issue. Matt,
24 first I want to talk about MVP's position on it. Then I
25 want to talk about L&E's position on it and the

1 difference. Okay?

2 A. Okay.

3 Q. So let's go to -- I think you had some
4 prefiled testimony on this. Go to exhibit 9A. I would
5 note that's a confidential exhibit, but I don't believe
6 you will be talking about anything confidential. I just
7 want the Board to have the whole item in front of them.

8 A. Okay.

9 Q. And there's a section on COVID that starts at
10 page 20, Matt. Go to page 20.

11 A. Okay.

12 Q. Matt, I'll do -- I'm going to watch the Board
13 and see if they have caught up to us where we're at.
14 Okay?

15 A. That works for me.

16 Q. I see nods. How does the Board like our big
17 thick binder this year? It's really easy to go through,
18 isn't it?

19 CHAIRMAN MULLIN: We're concerned you
20 might be charging by the page, Gary.

21 BY MR. KARNEDY:

22 Q. Okay. So, Matt, we're going to talk about
23 COVID, and as I indicate starting at page 20 you discuss
24 this in your prefiled testimony, correct?

25 A. Correct.

1 Q. So it's an important issue. I want to expand
2 on it a little bit and we want to talk about how the COVID
3 pandemic has impacted and affected MVP's proposed 2021
4 rates. Let me ask you this question first. There's been
5 decreased utilization in the early part of 2020, correct?

6 A. Yes. January and February were prepandemic
7 levels so they were normal, but we did see decreases to
8 paid claim volume in March, April, and May. In June,
9 though, across our enterprise we did see pay level return
10 to much more normalized expectations. More like
11 prepandemic levels.

12 Q. Okay. So could you explain to the Board, take
13 your time, how this, the decrease in utilization in 2020,
14 will result in your opinion an increase in utilization in
15 2021?

16 A. Sure. So for approximately two months
17 elective procedures were cancelled due to all the stay at
18 home orders that were in place, and essentially MVP
19 analyzed the cost of elective procedures across our
20 commercial block. They were approximately the same in New
21 York and Vermont. It was around \$45 per member per month,
22 and we recognized that COVID, the number of cases is
23 different in New York versus Vermont, but the fact that
24 there were stay at home orders and cancellations impacted
25 both states in a similar fashion.

1 Once -- we're now assuming that providers are
2 going to be able to increase capacity for two reasons.
3 One is that patients need care. So if somebody had a bad
4 shoulder or bad knee and they deferred an elective
5 procedure, we're assuming that the provider community
6 wants to actually treat patients, that's what they do, and
7 they are going to find a way to help bring less pain or,
8 you know, to solve this issue for their patients.

9 The second item is that we're assuming that 20
10 percent of elective procedures are just going to be
11 outright cancelled. That was based on a 2010 Society of
12 Actuaries paper where they have a range of 5 to 20 percent
13 of procedures were cancelled. So we actually went with
14 the higher end which has the least amount of impact going
15 forward, and basically went from a moderate scenario of 5
16 percent to a severe pandemic scenario was at 20 percent.

17 So what we're assuming is that if you cancel
18 20 percent of procedures but providers increase their
19 capacity, which is based on conversations with our medical
20 management team they did confirm that elective procedures
21 are generally done at full capacity, but based on
22 conversations we have had with providers we know that they
23 are willing to work extra hours, work weekends, et cetera,
24 to do exactly what we talked about which is to provide
25 care to patients as well as make up for lost revenue in

1 those two months.

2 So the way we modeled it out is that it would
3 take a little bit of time for providers to implement this
4 increase in capacity. It's not something that we can turn
5 the switch on immediately. So we assume beginning in
6 August of 2020 providers would operate at a 10 percent
7 additional capacity, so 110 percent, and that's assuming
8 two months of COVID stay at home orders and cancellations
9 of elective procedures and no further outbreaks of COVID.
10 With that assumption there would be four months of
11 elective procedures that should have happened in 2020 that
12 will actually occur in 2021. At that point, as of the end
13 of April, we estimate that providers will all be caught
14 up. The system will be caught up.

15 The approximate impact of that is \$4.51 for
16 the four months of January through April. Since we charge
17 calendar year rates, we don't charge monthly rates, we
18 took \$4.51 and divided it out by three. It's a third of a
19 year to get to \$1.50 PMPM.

20 Q. Can you just clarify? So there's a window of
21 time that MVP, for the reasons you described, that MVP is
22 considering providers will perform at 110 percent. When
23 does that window start and when does it end?

24 A. It starts in August of 2020 and it would end
25 in April of 2021.

1 Q. Thank you. Matt, as an actuary to get a
2 statutorily adequate rate for 2021 do you consider whether
3 individual treatments or surgeries take place in 2021 that
4 were scheduled in '20? Said a different way does it
5 matter when they are scheduled or when the treatment and
6 cost is actually incurred? Explain.

7 A. It matters when the treatment actually occurs.
8 We set our rates to be actuarially sound, and we included
9 an exhibit, which is actuarial standard of practice number
10 26, which speaks to what defining actuarial soundness is.
11 Actuarial soundness is when you set your premiums in such
12 a way that will cover claims, overhead, reinsurance
13 recoveries, et cetera, for the time period where you're
14 collecting premiums. In this case we're setting premiums
15 for calendar year 2021. So if we expect an increase in
16 claims in a portion of 2021, our premiums should reflect
17 that to be an actuarially sound rate.

18 Q. Matt, would you go to page 22 of exhibit 9A
19 please?

20 A. Okay.

21 Q. And you see this is on the issues we're
22 talking about. Do you see A32 at line 3?

23 A. Yes.

24 Q. The second sentence -- would you read the
25 second sentence please starting with according to?

1 A. According to actuarial standard of practice
2 number 26, Section 2.1, actuarial soundness is defined as
3 for business in the state for which the certification is
4 being prepared and for the period covered by the
5 certification, projected premiums in the aggregate,
6 including expected reinsurance cash flows, governmental
7 risk adjustment cash flows, and investment income, are
8 adequate to provide for all expected costs, including
9 health benefits, health benefit settlement expenses,
10 marketing and administrative expenses, and the cost of
11 capital.

12 Q. Next sentence, therefore.

13 A. Therefore, MVP must consider health claims
14 expected to occur in and only in 2021 when setting premium
15 rates that are effective for 2021. If MVP were to reduce
16 rates in 2021 for claims that were expected to occur but
17 did not in 2020, those rates would be considered
18 inadequate based on actuarial principles.

19 Q. Okay. Matt, so what actuarial concerns do you
20 have if the Board reduces rates for 2021 based on reduced
21 2020 claims in the recent months during the COVID crisis?

22 A. The actuarial soundness of the rate that would
23 be approved would be in question. There would be a
24 concern.

25 Q. So why aren't you assuming that 2021 is going

1 to be the same as 2020?

2 A. Well we did model out various scenarios, but
3 what we're really looking at is when the stay at home
4 orders went in place in March and April and May we were
5 just learning. We didn't really know much about the COVID
6 pandemic and we've learned a lot in the last four months,
7 and as time has gone on we figured out ways to move
8 throughout our lives cautiously and intelligently to
9 minimize the spread of the virus.

10 As a result of that, and we expect to continue
11 to learn more and more about that over the course of the
12 year and until this pandemic is squashed, and, you know,
13 as a result we expect 2021 utilization levels to look more
14 like 2019 utilization levels. So we're using 2019 data,
15 our base data, to set our rates projected into 2021 for
16 any expected changes in unit cost, utilization, or in this
17 case pent-up demand or vaccinations. So because we expect
18 -- because we somewhat figured out how to move
19 intelligently through our lives, which is supported by the
20 fact in health care that we are seeing our paid claim
21 volumes start to go back to pre-COVID levels, we expect
22 2021 to look a lot more like 2019.

23 Q. In 2020 are there -- do you have any concerns
24 about, as you sit here today in the middle of July, what
25 2020 is actually going to look like for the whole year?

1 A. There's a lot of uncertainty about what 20 --
2 how 2020 will ultimately play out. As I mentioned, we did
3 see some depressed claims in a few months. In June we did
4 see claims renormalize back to prepandemic levels, and
5 what also isn't known was it deferred care or the lack of
6 utilization for those few months where there was not only
7 stay at home orders and cancellation of elective
8 procedures, but also just general societal fear of going
9 to the doctor like that. Until we learn more about the
10 virus we are -- we do expect to see 2021 come to more
11 normalized levels, but the rest of '20 as those services
12 that were cancelled or deferred it's unclear if that's
13 actually going to lead to a higher morbidity rate that
14 will result in higher costs over time. That's going to
15 take time to play out. That may not be by the end of
16 2020. It may be into 2021 or even 2022.

17 Q. Do you have the 2020 risk adjustment yet?

18 A. We do not have the 2020 risk adjustment. We
19 received the 2019 risk adjustment this past Friday.

20 Q. And how would that help you in terms of
21 determining what's going to happen in 2020?

22 A. Rates are set to be actuarially sound or --
23 and to be to the market-wide average rate level or the
24 market-wide risk level. So we receive risk adjustment
25 from CMS on an annual basis. We don't receive the first

1 five months of the year or six months of the year risk
2 adjustment level, and what we have to do is take our
3 claims, and if we're paying into the system, which means
4 we have less morbid population than our competitor and
5 they have a higher morbidity population, risk adjustment
6 levels the playing field so we're on the same level. So
7 you're removing morbidity from the equation in how we're
8 setting our premium rates. We don't have that information
9 from '20. We only have it for 2019. It's unclear right
10 now how 2020 is going to play out as a result of this, but
11 time will tell and we'll see as time goes on.

12 Q. Thanks, Matt. I want to ask you about the
13 impact of a potential vaccine. Would you please go to
14 page 21? You will see at the top of 21 there's a
15 paragraph that starts additionally, first full paragraph,
16 that's where we start talking a bit about vaccines, but I
17 just want to ask you to please describe your thoughts
18 about vaccine and how that might impact rates?

19 A. Sure. MVP hopes that there's a vaccine as
20 soon as possible and we're watching the news very, very
21 closely to see how that's progressing so (1) so we can
22 return to our lives, and (2) people don't continue to get
23 sick from this virus and potentially passing away as a
24 result of it. So we're monitoring progress of vaccines.

25 When we set our rates in May we were aware

1 that the government was sending out expectations through
2 Operation Warp Speed to accelerate the approval process of
3 a vaccine. We also were aware that there feels like
4 almost every biopharmaceutical company or pharmaceutical
5 manufacturer is researching and developing some sort of
6 vaccine. So our hope is that in early 2021, we don't have
7 an exact time just in early 2021, a vaccine will be
8 approved and widely available to the public.

9 Q. Matt, would you please go to exhibit 2 which
10 is one of the interrogatories and page 6 of that exhibit?

11 A. Okay I'm there.

12 Q. Exhibit 2 page 6. Okay. Matt, there were
13 some interrogatory questions and responses around COVID
14 and the vaccine issue so I just want to refer you to
15 those. First, there's a question about immunization cost.
16 Would you tell the Board about that please?

17 A. Sure. MVP --

18 Q. Interrogatory 13.

19 A. Yes. The Wakely Consulting Group provided a
20 study where they are estimating how COVID -- a COVID
21 vaccination cost, and they used Tamiflu as a baseline for
22 their estimate of an inoculation cost. MVP used that
23 assumption, but based on our own data and our own cost of
24 Tamiflu which was \$75 per dose. So we're assuming that
25 each vaccine will cost \$75.

1 Q. And question 14 goes to immunization rate.
2 Would you please discuss that?

3 A. So also included in the Wakely paper was an
4 assumption that 80 percent of the population would have --
5 would accept a COVID vaccine, and we thought that seemed
6 like a reasonable balance between where the flu vaccine
7 rate is 55 percent and something that's a vaccination you
8 provide to children like MMR which is north of 90 percent.

9 Q. What's MMR?

10 A. Measles mumps rubella.

11 Q. You said that's north of 90 percent?

12 A. Yes.

13 Q. And that's the immunization rate, and then the
14 next question 15 asks about the date when it might become
15 available -- the vaccine. Talk about that.

16 A. As I referenced a few minutes ago, we
17 recognize that the government, as well as pharmaceutical
18 manufacturers, are working as fast as possible day and
19 night to try to -- to try to stop this pandemic so that
20 people can return to normalcy, no one else has to get sick
21 from this, and our hope is that a vaccine will be approved
22 in early 2021. There's recent news from a few
23 pharmaceutical manufacturers that are going to enter phase
24 two/phase three trials and we're hoping those go according
25 to plan and there is actually a vaccine available at some

1 point early 2021.

2 Q. Can you say entering phase two and phase three
3 what does that mean about phase one?

4 A. It means phase one proved that they were safe
5 and I don't know a whole lot, but my understanding is that
6 phase one is a trial. Phase two, phase three more of an
7 efficacy trial.

8 Q. There's been some success with phase one
9 basically?

10 A. That's correct.

11 Q. Staying on this exhibit, if you go to the next
12 page and you'll see on page 7 there's an interrogatory 17,
13 let me know when you're there.

14 A. Okay.

15 Q. And this is a discussion -- a question around
16 the second wave of the COVID virus. Would you talk about
17 that please?

18 A. Sure. We recognize that this pandemic is
19 ongoing and it's not something that's solved for. So we
20 did model out numerous scenarios with either more months
21 of outbreak in '20 or more months of outbreak in 2020 as
22 well as 2021.

23 In our modeling what's reflected in our rates
24 is two months of deferred services being suppressed and
25 cancelled and then no future outbreaks in 2020 or 2021.

1 If we were to assume increase in the months of outbreak or
2 stay at home orders, cancellation of procedures for 2020,
3 we would actually experience a \$4.51 increase to our rates
4 for the full year. So that's three times the amount.
5 That's basically the 10 percent capacity increase that
6 we're going to see for January through April in our
7 assumptions. All that's essentially saying is that if
8 there are more months of outbreak, the providers will
9 still not be able to catch up by the end of 2021, and they
10 could actually -- that deferral could actually lead into
11 2022. If there is another outbreak in 2021, that will
12 actually lead to a suppression of claims similar to what
13 we've experienced in the early spring.

14 Q. Would you go to exhibit 5? Exhibit 5. It's
15 the third page of that exhibit. Exhibit 5 page 3.

16 A. Okay.

17 Q. So there's an interrogatory that starts on the
18 very bottom number 8 and it asks about COVID effect on
19 non-benefit costs such as travel, overhead, profit. Would
20 you please speak to those issues?

21 A. Sure. MVP's administrative costs are -- some
22 areas they are higher because of working from home in the
23 pandemic and other areas they are decreased. It's still
24 too early to tell exactly how 2020 is going to play out in
25 full, but our expectation is that 2021, similar to our

1 claims cost, will look much more like 2019 prepandemic.
2 So as a result we're using 2019 data with an adjustment
3 for any changes to our administrative costs until 2021 and
4 no adjustments being made for what's happening in 2020.
5 Still too early to tell.

6 Q. On that page 4, the next page, there's an
7 interrogatory 9 which asks about consumer savings in light
8 of COVID. There's a bullet. Do you see that?

9 A. Yes.

10 Q. I would ask you to walk through those bullets
11 and explain those savings to the Board.

12 A. Sure. Before the bullets I will add that MVP
13 rolled out a brand agnostic web site called
14 tricalmedicinefirst.com. It's a directory of all the
15 available telemedicine or telehealth doctors that you have
16 in New York and Vermont just because we recognize that
17 patients and members need care, and when there was stay at
18 home orders it was hard to go to the doctor and maybe feel
19 safe. So we rolled this out as the way to help guide
20 members into the right way to receive care when they are
21 at home.

22 Starting with the bullets we've been providing
23 telemedicine visits for a few years now, and in addition
24 to providing telemedicine we've also rolled out a new
25 service called myERnow. That's like a triage service

1 where you can call up the myERnow app and they will direct
2 your care to either -- maybe you do have to go to the ER,
3 maybe the right answer is you have a telemedicine visit,
4 maybe the correct answer is that you go to urgent care, or
5 you just go to your local pharmacy and pick up some sort
6 of Advil or Tylenol. We're also cost sharing for our
7 telemedicine or myERnow app and we're also promoting
8 prescription refills to go from 30-day supplies to 90-day
9 supplies so you don't have to go into the pharmacy as
10 quickly as you normally would.

11 In addition, there's also been changes to our
12 medical management policies which are making it easier for
13 us to go through medical review or there is no medical
14 review for a few months or was no medical review for a few
15 months so you could have the care you needed as quickly as
16 possible. We've also notified our Vermont members of the
17 available state programs in case they do have financial
18 needs and they can't afford their premiums in the
19 commercial space. So that's another way that we're
20 helping promote and helping our members navigate through
21 the COVID virus.

22 Q. Great. Thank you. Next I want to ask you
23 about coverage for COVID testing.

24 A. Okay.

25 Q. Give me a second. So does MVP in this 2021

1 rate filing include COVID testing costs in our analysis?

2 A. We are not.

3 Q. So would you go to exhibit D please? Exhibit
4 D as in dog.

5 A. Okay.

6 Q. And do you see that's an emergency rule? If
7 you look at the top, Emergency Rule H-2020-03-E from DFR
8 regarding coverage of COVID-19 diagnosis treatment and
9 prevention. Do you see that?

10 A. Yes.

11 Q. You're familiar with this document?

12 A. Yes.

13 Q. I want to focus on COVID testing. If you
14 would go down to Section 3A, Section 3 says coverage of
15 COVID-19 diagnosis. Would you read that first sentence of
16 3A please?

17 A. When medically necessary or directed by the
18 state or federal government, health insurers shall cover
19 any COVID-19 testing performed by the Center for Disease
20 Control, the Vermont Department of Health, or a laboratory
21 approved by CDC or VDH with no co-payment, co-insurance,
22 or deductible requirement for members.

23 Q. And this is a two-page document, correct?
24 Almost two pages, correct?

25 A. Correct.

1 Q. And that term medically necessary, is that
2 defined in this rule?

3 A. It is not.

4 Q. Okay. Would you go to Section 3B and read the
5 sentence please?

6 A. Health insurers shall cover provider office or
7 urgent care visits and emergency services visits to
8 determine whether COVID-19 testing is medically necessary
9 with no co-payment, co-insurance, or deductible
10 requirements for members.

11 Q. So in a nutshell what does 3B say?

12 A. It's saying that when COVID-19 testing is
13 medically necessary there will be no charge to members
14 whether it's co-pay, deductible, or co-insurance.

15 Q. Thank you very much. Now, Matt, would you
16 turn to exhibit E please. Exhibit E. So this is a draft.
17 I want to be clear it says draft across the front of it,
18 but it's insurance bulletin 214. It is entitled medically
19 necessary COVID-19 testing. Do you see that?

20 A. Yes.

21 Q. What's the date on it on the front?

22 A. July 6, 2020.

23 Q. And again this is just a draft, but would you
24 read the first sentence?

25 A. The purpose of this bulletin is to clarify

1 when COVID-19 testing shall be covered without cost
2 sharing under emergency rule H-2020-03-E.

3 Q. And that goes to this issue of what is
4 medically necessary, correct? That's what the document is
5 entitled?

6 A. Correct.

7 Q. So if you go to the third paragraph, it says
8 in the Department's view medically necessary testing
9 includes all testing and then it goes on from there,
10 correct?

11 A. Correct.

12 Q. And then would you please read the first
13 bullet under that?

14 A. Recommended testing for asymptomatic
15 individuals without known or suspected SARS, COVID
16 exposure for early identification and special settings.

17 Q. Do you have a concern about this first bullet?

18 A. Well it's -- from a societal standpoint I
19 understand it because if you're asymptomatic and you're
20 not showing symptoms and you're entering public, it's a
21 good way to help spread the disease. There's a good way
22 to help prevent the disease from spreading. That said,
23 though, from an actuarial soundness perspective there is
24 concern because this kind of opens up the door to testing
25 across the board under almost any scenario.

1 Q. Okay. So you were talking about the term
2 asymptomatic individual, correct?

3 A. Correct.

4 Q. And looking at the draft, I know it's just a
5 draft, but that's not defined anywhere, is it?

6 A. No it's not.

7 Q. Okay, and the bullet ends with early
8 identification and it says special settings. Do you see
9 that?

10 A. Yes.

11 Q. Do you have a concern about the term special
12 settings?

13 A. Yes. It's broad and I can use an example. We
14 do have an employer group that is a school that's in New
15 York and has faculty and students in Vermont, and they
16 recently informed us that they are going to do very
17 rigorous regular testing to help manage the spread of the
18 virus throughout the school year, and they are estimating
19 they are going to do some 20,000 tests over the course of
20 the school year. The cost, it's not finalized yet, but
21 regardless even at 20,000 -- 20,000 tests the cost would
22 be substantial very quickly.

23 Q. That would be like an occupational setting.
24 Do you know how that would fall under this definition of
25 special settings?

1 A. It's not defined what a special setting is so
2 I would assume that it would fall under a special setting.

3 Q. You don't know because it's not defined, is
4 it?

5 A. No.

6 Q. And are you concerned about these costs and
7 actually figuring out the amount that would rise to
8 actuarial level of reasonableness so you could include it
9 in a rate filing?

10 A. Yeah there's definitely a concern on our part.
11 It's a big unknown. What we're hoping, as we talked about
12 earlier, there's a vaccine widely available in early 2021
13 that would mitigate the need for testing on a regular
14 basis especially on asymptomatic individuals, but if the
15 vaccine isn't approved for quite sometime into 2021, we
16 assume there's going to be rigorous and high volume of
17 testing that's going to occur until that time.

18 Q. Some of this could be that occupational
19 testing, correct?

20 A. Correct.

21 Q. All right. Thank you. Let's now talk about
22 L&E's position on COVID. If you go back to exhibit 10,
23 which is L&E's actuarial memorandum -- exhibit 10 page 9.

24 A. Okay.

25 Q. So on page 9 you see a number 4 heading. It's

1 about four paragraphs down. It says changes to population
2 morbidity adjustments. Do you see that?

3 A. Yes.

4 Q. So this is the section in L&E's report on
5 COVID, correct?

6 A. Correct.

7 Q. So as I understand the pages 9 and 10 -- 9
8 into 10 provides a summary of MVP's positions on COVID,
9 correct?

10 A. Correct.

11 Q. And if you would please go to the third
12 paragraph under that number 4 heading, that third
13 paragraph starts with as a result. Let me know when
14 you're there.

15 A. Okay.

16 Q. And in this paragraph there's -- the second
17 sentence makes reference to MVP relying on a Society of
18 Actuary research. Do you see that?

19 A. Yes.

20 Q. So we relied on data from the Society of
21 Actuaries, correct?

22 A. Correct.

23 Q. And did L&E have any objection to that
24 reliance?

25 A. They did not.

1 Q. In fact, Mr. Dillon and Ms. Lee aren't they
2 members of the society?

3 A. Yes.

4 Q. And then the last sentence makes reference to
5 based on information from the company's medical management
6 team and it goes on from there. Do you see that?

7 A. Yes.

8 Q. And did L&E object in any way with the
9 actuaries at MVP relying on the medical management team
10 providing that information?

11 A. They did not.

12 Q. Okay. So at the top of page 10 the first
13 couple paragraphs are MVP considering various utilization
14 scenarios; is that right?

15 A. Yes.

16 Q. And L&E just summarizing that, correct?

17 A. Correct.

18 Q. And then if you get to the sentence where it
19 says L&E does not believe, about five paragraphs down, do
20 you see that?

21 A. Yes.

22 Q. This is where we start to talk about or they
23 start to talk about our capacity to disagree, correct?

24 A. Correct.

25 Q. Now can you read that sentence -- L&E does not

1 believe sentence?

2 A. Providers have had an opportunity to receive.

3 Q. I'm sorry, Matt. I'm sorry. Can you read the
4 sentence above that please?

5 A. L&E does not believe that the assumption that
6 providers will run at 110 percent capacity is adequately
7 supported based on the following.

8 Q. And then there's three bullets underneath
9 regarding L&E's assumptions; is that correct?

10 A. Correct.

11 Q. And I understand you have two problems with
12 their assumptions; is that right? Two problems?

13 A. Yes.

14 Q. So would you please read the first bullet?

15 A. First bullet reads providers have had an
16 opportunity to receive financial assistance from the
17 government to relieve the financial hardship which reduces
18 the financial incentive to run at greater than 100 percent
19 capacity in the future.

20 Q. And do you disagree with this first bullet?

21 A. I do disagree with that.

22 Q. And why?

23 A. It's looking at this need to fill or have
24 these procedures performed as purely a financial item, but
25 it's not. It's providers and hospitals -- they want to

1 provide care. That's why they enrolled in the profession,
2 being a doctor they want to help people, and there's
3 people that needed to have a service performed or surgery
4 performed that couldn't have it done for the few months of
5 March, April, May, and so this assumption is disregarding
6 that providers want to actually help people and to
7 actually get their backlog of required procedures worked
8 through they need to increase capacity.

9 Additionally, I'm not privy to these
10 conversations, but based on conversations I have had with
11 our contracting team we are not hearing that the provider
12 community feels that they have been fully compensated back
13 for cancelled or reduced procedures in those few months.
14 So on both fronts I disagree with that bullet point.

15 Q. When you talked about -- I think you were
16 talking about the desire to promptly treat patients,
17 that's what health care employees have, correct?

18 A. Correct.

19 Q. And that's not just your opinion. That's
20 based on information that your team has heard from medical
21 care providers; is that right?

22 A. Yes.

23 Q. And is it fair to say you talked about the
24 financial piece, that they do want to generate as much
25 revenue as they can in 2020?

1 A. Yes. They would like -- they set a budget in
2 2020 and I assume they are trying to meet that budget
3 revenue expectation.

4 Q. And have you heard from anybody at MVP that
5 medical care providers tend to sit back and live on
6 government assistance?

7 A. I have not.

8 Q. So this is the first problem that you
9 identified with the assumptions, correct?

10 A. Correct.

11 Q. Let's go to the second and third bullets.
12 Would you read the second bullet please?

13 A. There's an immense uncertainty regarding how
14 long social distancing, cleaning, and other safety
15 guidelines will continue into 2021 which limits provider
16 capacity.

17 Q. Okay, and would you read the third bullet
18 please?

19 A. Vermont had a quicker than average turn around
20 from shelter in place to reopening which potentially sets
21 the stage for all deferred care to be recouped in 2020.

22 Q. And does your second problem relate to these
23 two bullets and what's being said there?

24 A. Yes.

25 Q. Would you please describe to the Board what

1 your issue is?

2 A. The second bullet discusses how much
3 uncertainty there is regarding how long all our social
4 distancing and increased cleaning -- obviously the
5 guidelines will continue so there's uncertainty about all
6 this, but then the third bullet is stating that because
7 Vermont has had a quicker turn around on shelter in place
8 reopening that Vermont providers will be able to provide
9 as many services as needed in calendar year 2020 to recoup
10 all their deferred care. So what is uncertainty where
11 they are saying we don't know how long this is going to go
12 and the other is accelerate and get it all in 2020.

13 Q. To be clear the second bullet says immense
14 uncertainty, doesn't it?

15 A. It does.

16 Q. And then in the third bullet L&E is going on
17 to make your own assumptions, correct?

18 A. Correct.

19 Q. Would you please read the sentence that starts
20 L&E recommends under the three bullet?

21 A. L&E recommends that the adjustment for
22 COVID-19 pent-up demand be reduced 0.0 percent.

23 Q. So is L&E recommending we do nothing with our
24 rates as relates to COVID?

25 A. They are recommending that with regard to

1 pent-up demand that we do nothing to our rates for it.

2 Q. And you disagree with that, your rationale
3 based on the two reasons we just discussed?

4 A. Yes.

5 Q. And in considering capacity pent-up demand is
6 MVP actually contributing a number to it?

7 A. Yes. 1 percent.

8 Q. So MVP has stepped up and measured the risk,
9 correct?

10 A. Correct.

11 Q. Let's go back to exhibit 10 and I want to talk
12 about L&E's views on vaccines please. On page 10 where we
13 were at the very bottom L&E at the very last paragraph
14 starts talking about their views on the vaccine, correct?

15 A. Correct.

16 Q. And that goes on into page 11, correct?

17 A. Correct.

18 Q. And as I understand it they don't dispute any
19 of our assumptions regarding timing and availability of
20 the vaccine, correct?

21 A. Correct.

22 Q. Or the vaccine cost 75 dollars they don't
23 dispute that, correct?

24 A. That's correct.

25 Q. We say there will be a 80 percent vaccine rate

1 and they say it will be 55 percent; is that right?

2 A. That's right.

3 Q. Let's go to that sentence please. It's the
4 third full paragraph. Would you please read that first
5 sentence?

6 A. L&E recommends the vaccine rate assumption of
7 55 percent consistent with flu vaccination rates.

8 Q. So that's consistent with flu vaccination
9 rates, that's how they came up with the number?

10 A. Yes.

11 Q. And why do you believe -- actually I guess
12 we've talked about that already, why you believe that's
13 wrong, correct?

14 A. I think we touched on it, but essentially the
15 flu, while it is -- it can be bad, it hasn't caused the
16 entire world to alter the way it approaches the day-to-day
17 life and staying at home, quarantining, so on so forth,
18 and we expect a much higher vaccination rate for COVID
19 than we do for the flu, but we're assuming the matches, as
20 I mentioned earlier, for measles mumps rubella we see a
21 higher vaccine rate, 90 percent, we're in the middle.
22 Somewhere in the middle.

23 Q. The next sentence of that paragraph references
24 reducing the rate increase from 1.7. Do you see that?

25 A. Yes.

1 Q. So as to the vaccine issue is L&E disagreeing
2 with us? Is there a disagreement that amounts to .3 on
3 the rate?

4 A. The vaccine is approximately .3 and so is
5 pent-up demand on both.

6 Q. So it's vaccine issues is our dispute this
7 year basically, right?

8 A. Yes.

9 Q. And the other half is the pent-up demand,
10 correct?

11 A. Correct. I saw you hold your hand up.

12 MR. BARBER: Yes. We're hearing some
13 road noise or something.

14 (Off-the-record discussion.)

15 MR. BARBER: Mr. Karnedy, proceed.

16 MR. KARNEDY: Thank you very much.

17 BY MR. KARNEDY:

18 Q. Matt, next I want to talk about medical
19 assumptions. It appears we're in agreement with L&E, but
20 let's go through that. If you would please go and -- stay
21 with exhibit 10 and go to page 6 of the exhibit please.

22 A. Okay.

23 Q. If you look, there's an item 3, trend from '20
24 to '21, in the middle of the page if you can see that?

25 A. Yes.

1 Q. This is where the discussion of the trend
2 starts. I want to go to page 7 please and you'll see a
3 heading at the top medical unit cost trend. Do you see
4 that?

5 A. Yes.

6 Q. I want to focus on medical trend. If you
7 would please read the first sentence of the paragraph
8 underneath medical unit cost trend?

9 A. MVP computed its allowed trend as a weighted
10 average of the medical claim unit cost trends in 2020 and
11 2021 for inpatient, outpatient, and physician claims based
12 on known and assumed price increases for MVP's prior
13 network.

14 Q. Read the next sentence.

15 A. This approach is consistent with prior rate
16 filings.

17 Q. And then go to the second paragraph and read
18 the first two sentences.

19 A. Since the 2021 hospital budget review is not
20 yet finalized, MVP has assumed that hospital increases
21 will match the 2020 increases with a few exceptions by
22 facility. These expected assumptions for hospital budget
23 increases are based on information from MVP's contracting
24 department.

25 Q. And then would you please read the text in the

1 box to the right there?

2 A. The header is GMCB hospital budget review.
3 Says the overall unit cost medical trend of 6.0 percent
4 includes (1) a trend of 6.2 percent for facilities and
5 providers that are impacted by the GMCB's hospital budget
6 review, and (2) a trend of 5.5 percent for all -- for
7 other medical facilities and providers that are not
8 subject to the hospital budget review.

9 Q. And would you read the sentence below the box
10 to the left?

11 A. L&E believes the assumed unit cost trends are
12 reasonable and appropriate.

13 Q. So we have agreement on that, correct?

14 A. Yes.

15 Q. Next I would ask you to go to page 8 please.

16 A. Okay.

17 Q. And as I understand it the second paragraph
18 involves L&E summarizing some independent trend
19 calculations that they did?

20 A. Yes.

21 Q. And then if you could read the third
22 paragraph. It starts with based on.

23 A. Based on the above analyses, L&E considers the
24 assumed utilization trend of 1 percent to be reasonable
25 and appropriate.

1 Q. Okay. So we have agreement on that, correct?

2 A. Correct.

3 Q. And then would you please read the first
4 sentence under total allowed medical trend?

5 A. Based on the information available L&E
6 considers the total allowed medical trend of 7.0 percent
7 to be reasonable and appropriate.

8 Q. So we have agreement on that, correct?

9 A. Yes. Correct.

10 Q. And then the next paragraph, the fifth
11 paragraph on the page, would you please read the last two
12 sentences of that paragraph starting with due to?

13 A. Due to the disruptions from COVID-19 it
14 appears likely the submitted hospital budget requests will
15 be higher than last year. If this is the case, it may
16 mean a higher premium increase is necessary.

17 Q. Do you agree with that?

18 A. Yes. My understanding is there's an
19 additional item included in the proposed hospital budgets
20 to account for COVID -- one time adjustment for COVID lost
21 revenue.

22 Q. And would you please describe to the Board
23 you're familiar with the challenge of the timing of the
24 hospital budget process and also talk about what the Board
25 is having us do differently this year in terms of briefing

1 that issue?

2 A. Sure. So every year there's a little bit of a
3 timeline issue where our rates are submitted in May and
4 approved in early August and the proposed hospital budgets
5 come in during that review period and the final approval
6 is after rates are approved. This year due to the
7 pandemic there's a little bit of a push -- the proposed
8 hospital budget timeline is pushed out a little further,
9 but it is before the approval of our rate approval will
10 happen. So the Board is allowing carriers to provide a
11 memorandum summarizing the impact of the proposed hospital
12 budgets on our rates.

13 Q. I would like to talk about the risk
14 adjustment. I believe there's agreement. If you go to
15 bullet number 4 please, it's on page 16.

16 A. Okay.

17 Q. Reference the updated risk adjustment. I'm
18 sorry. I want to bring you right to it. We agreed to a
19 reduction of approximately 1.1 or 1.2?

20 A. We agreed with L&E's calculation and that was
21 1.1 percent.

22 Q. So I apologize I took you to the wrong page.
23 Go to page 10 please. Excuse me. Page 13. That's the
24 last mistake I'll ever make. Page 13. There it is. So
25 on page 13 do you see an item 10 changes to risk

1 adjustment? Do you see that?

2 A. Yes.

3 Q. So would you explain to the Board the
4 information that we relied on and the information that L&E
5 relied on and then recent information that's come in on
6 this adjustment?

7 A. So earlier I talked about how we have to
8 include risk adjustment to level the playing field between
9 the carriers to remove the impact of morbidity differences
10 in our populations. When we set our rates in May the only
11 information that we have is CMS's interim results. That's
12 what MVP included in its proposed rates. After our rates
13 are submitted shortly after that we have final files from
14 CMS's edge server that we share with L&E as well as Blue
15 Cross, and they compute what the risk transfer amounts
16 will be so that we can discuss that during the rate review
17 process. We received our actual risk adjustment results
18 from CMS this past Friday, and L&E's calculation was
19 within 160 dollars. So no material difference in the two
20 calculations and we agree that we should be putting in the
21 actual CMS results into our rates to normalize our claims
22 for the market-wide risk.

23 Q. We have agreed on them after these most recent
24 numbers, correct?

25 A. I did say it was 1.1 percent before. I

1 believe in this file it shows 1.1, down to 1.1, and then
2 they reference 1.2. I would like to clarify I don't know
3 the exact number, but I disagree we should be putting in
4 the actual CMS final results, and what that impact is it's
5 1.1 or 1.2 percent and we agree.

6 Q. Matt, I want to talk briefly about
7 administrative costs. Turn to exhibit 1 please which is
8 our original rate filing, exhibit 1, and then go to page
9 119.

10 A. Okay.

11 Q. And do you see the fifth paragraph down says
12 general administrative expense load. Do you see that
13 heading?

14 A. Yes.

15 Q. I'm just waiting to make sure the Board has
16 caught up, okay, and in the first sentence there's a
17 reference to -- there's a number and reference to PMPM.
18 Can you tell the Board what that is?

19 A. So PMPM represents per member per month. We
20 take total claim dollars divided by our member months that
21 we have available to us and compute a PMPM so that
22 normalizes out for differences in membership, and we are
23 proposing to charge \$43.75 per member per month for
24 administrative expenses. 49 cents of that charge is
25 because due to the pandemic we're rolling out credit card

1 payments to small employers. Previously only individuals
2 could pay via credit card. We had an uptake rate of 20
3 percent for individuals. We're assuming a 10 percent
4 small employer group uptake rate will increase the admin
5 load by 49 cents because we do have to pay 2.8 percent
6 credit card fee.

7 Q. Thank you. So the PMPM for this year or for
8 administrative expense load in our filing is \$43.75 PMPM,
9 correct?

10 A. Correct.

11 Q. And let's see what L&E said about that. If
12 you would please go back to exhibit 10, go to page 14
13 talking about administrative cost. You will see heading
14 13 changes in administrative cost. Let me know when
15 you're there.

16 A. I'm there.

17 Q. So there's a discussion about administrative
18 cost and then if you would go to page 15, would you read
19 the first full paragraph please?

20 A. The administrative costs assumed in the 2021
21 filing are consistent with MVP's recent individual and
22 small group administrative costs as reported in the last
23 three years of the company's supplemental health care
24 exhibit. The company's expenses have decreased since 2013
25 when they were \$46.57 PMPM

1 Q. Okay. So that \$46.57 compares to this year
2 which for 2021 which is \$43.75, correct?

3 A. Correct.

4 Q. And just generally what is L&E talking about
5 in the next paragraph -- the second full paragraph on the
6 page?

7 A. They are referencing our growth in the Vermont
8 market but our contraction in the New York market and
9 overall how that's impacting administrative costs because
10 a lot of our costs are fixed and spread out across both
11 states.

12 Q. And would you read the last sentence of that
13 paragraph?

14 A. Considering the reduced administrative costs
15 over the recent years L&E considers the assumed 2021
16 administrative costs to be reasonable and appropriate.

17 Q. Okay. So in summary in your opinion if MVP
18 adopts the recommendations we've identified and the
19 resulting change from 7.34 goes to 6.06, is that rate 6.06
20 actuarially sound and reasonable?

21 A. Yes.

22 Q. And I want to talk about reserves insolvency.
23 This year MVP has a CTR proposal of 1.5 percent, correct?

24 A. Correct.

25 Q. If you would go to exhibit 11, I want to see

1 what DFR says about that. Let me know when you're there.

2 Exhibit 11.

3 A. I'm there.

4 Q. So this exhibit 11 is DFR's letter to Chair
5 Mullin regarding solvency this year for MVP, correct?

6 A. Correct.

7 Q. You have reviewed this and you're familiar
8 with it?

9 A. Yes.

10 Q. Would you turn to the second page and read the
11 sentence under summary of opinion?

12 A. The proposed rate filed by MVP would not
13 negatively impact its solvency and the company otherwise
14 meets Vermont's financial licensing requirements for a
15 foreign insurer.

16 Q. And would you please read the third bullet
17 under -- excuse me. Do you agree with that what you just
18 read?

19 A. Yes.

20 Q. And would you please read the third bullet
21 under MVPHP solvency?

22 A. Finally, in 2019 all of MVP's Holding Company
23 operations in Vermont accounted for approximately 5.7
24 percent of its total premiums rate. DFR has determined
25 that MVPHP's Vermont operations pose little risk to its

1 solvency. Nonetheless, adequacy of rates and
2 contributions to surplus are necessary for all health
3 insurers to maintain strength of capital that keeps pace
4 with claim trends.

5 Q. Do you agree with that?

6 A. Yes.

7 Q. And then would you read the sentence under
8 impact of the filing on solvency please?

9 A. Based on the entity-wide assessment above and
10 contingent on GMCB finding the proposed rate is not
11 inadequate, DFR's opinion is that the proposed rate will
12 not have a negative impact on MVP's solvency.

13 Q. So let's see what L&E says about CTR. If you
14 go back to exhibit 10, go to page 15 and it's item 15.
15 Let me know when you're there.

16 A. Okay.

17 Q. Do you see in the second paragraph there's a
18 reference to a reasonableness check. Do you see that?

19 A. Yes.

20 Q. What's that about please?

21 A. Small group and individual QHP filings are
22 available on the Society web site. L&E reviewed three
23 years of rate filings. In 2020 there's 783 of them. In
24 2020 MVP's proposed CTR of 1.5 percent is almost 2 percent
25 below the average CTR submitted and it would be 630th of

1 that 783 filings which is around the 20th percentile. Our
2 CTR proposals in the last two years have also been in a
3 similar percentile range, around 20th percentile. So 80
4 percent of the filings have a CTR that is higher than what
5 MVP is proposing.

6 Q. So in your opinion did we pass the
7 reasonableness check?

8 A. Yes.

9 Q. Would you read the last paragraph first
10 sentence?

11 A. L&E CTR functions are reasonable and
12 appropriate.

13 Q. So L&E agrees with us on the CTR, correct?

14 A. Correct.

15 Q. In your opinion will a decrease from our
16 original filings of 7.34 to 6.06, which adopts all the
17 recommendations of L&E except for COVID, adversely impact
18 the solvency of MVP Health Care Inc.?

19 A. It will not.

20 Q. Although our proposed rates are reduced our
21 CTR remains at 1.5 percent, correct?

22 A. Correct.

23 Q. Do you anticipate that contributions to
24 reserves will require a change depending on the hospital
25 budget -- talking about contributions to reserves?

1 A. Our rate needs to change, but the CTR load of
2 1.5 percent would not change.

3 Q. So in the framework and context contributions
4 to reserve could you tell the Board about interplay
5 between vaccines next year and testing and how that should
6 be viewed in the context of the CTR?

7 A. Yes. CTR it's -- there's -- (1) there's
8 minimum solvency requirements set forth by regulators and
9 (2) the point of reserves is to be able to provide
10 consumers with peace of mind in case of adverse claim
11 events. The 1.5 percent in addition as claims increase,
12 which means premiums increasing, that means our adverse
13 risk, the magnitude of it, will increase. That's why we
14 need to continue to add to our reserve levels. Cost of
15 health care is very right tail skewed meaning there's a
16 few people that incur most of the costs and those right
17 tail events are becoming more and more challenging to
18 predict and could be more and more impactful as there's
19 new breakthroughs in pharmaceuticals and technological
20 breakthroughs.

21 Q. I want to touch on briefly the non-actuarial
22 issues that we need to consider -- the Board needs to
23 consider in the hearing. If you go to your prefiled
24 testimony exhibit A, please go to page 4 of that document
25 and let me know when you're there.

1 A. I'm there.

2 Q. Give the Board a minute to catch up. Okay.

3 Matt, do you see there's 16? Why don't you read that?

4 A. What steps have MVP taken to lower costs and
5 establish that its proposed rates promote affordability,
6 assess to care, and quality of care for Vermonters?

7 Q. Okay. So those are the non-actuarial issues,
8 correct?

9 A. Correct.

10 Q. And in this response there's a long list. How
11 many items are listed there?

12 A. 22 items.

13 Q. And then if you look at the list some or many
14 of those items have a cross reference to an additional
15 Q&A, correct?

16 A. Correct.

17 Q. And those additional Q&A's drill down on the
18 issue. Is that fair?

19 A. That's fair.

20 Q. So were these items in your prefiled relating
21 to non-actuarial issues evidence of some of MVP's steps to
22 lower costs, quality of care, and access, and establish
23 the rates proposed are affordable to Vermonters?

24 A. Correct.

25 Q. Matt, would you go back to exhibit 1. This

1 will be brief, it's our original filing, and go to page
2 112 of the exhibit.

3 A. Okay.

4 Q. So exhibit 1 page 112 and you see there's a
5 heading for market/benefits. Do you see that?

6 A. Yes.

7 Q. And do you see the fifth paragraph down?
8 There is a reference to a wellness benefit. Do you see
9 that?

10 A. Yes.

11 Q. Would you tell the Board about the wellness
12 benefit?

13 A. So standard plans and non-standard plans are
14 offered in this market. Standard plans mean that all the
15 carriers or two carriers in the Vermont market offer the
16 same set of benefits. So we provide an apples-to-apples
17 benefits for consumers non-standard after being medal
18 level compliant, but they provide carriers with the
19 ability to differentiate themselves in some way, and the
20 way we're differentiating ourselves is through a wellness
21 benefit which can provide up to \$600 of reimbursement for
22 subscribers that take personal health assessments, live an
23 active lifestyle, things such as that.

24 Q. On the non-actuarial issues would you agree
25 how MVP manages its administrative costs makes insurance

1 more affordable?

2 A. Yes.

3 Q. As it will MVP taking steps to lower costs,
4 promote quality of care and access and to establish the
5 rates proposed are affordable for Vermonters?

6 A. Yes.

7 Q. Do you have an opinion on whether short term
8 "affordability" under pricing will make insurance
9 affordable in the long run?

10 A. My opinion is it will not.

11 Q. But, Matt, each year we need to walk through
12 the statutory criteria. I'm going to do that with you
13 quickly and we're just about done, okay. So I want to
14 frame these questions around the 6.06 which is the revised
15 rate. The rate we're proposing now 6.06. Are you with
16 me?

17 A. Yes.

18 Q. Each of these questions relate to 6.06 rate.
19 Okay?

20 A. Okay.

21 Q. Do the MVP rates meet the standard of
22 affordability based on the rate filing and other evidence
23 in your testimony today?

24 A. Yes.

25 Q. Do the rates promote quality of care and

1 access to health care based on the rate filing, other
2 evidence, and your testimony today?

3 A. Yes.

4 Q. Is this rate filing unjust, unfair,
5 inequitable, misleading, or contrary to law based on the
6 rate filing, other evidence submitted, and your testimony
7 today?

8 A. No it is not.

9 Q. Are the rates reasonable based on the data
10 that we have?

11 A. Yes.

12 Q. Are the rates actuarially sound and fairly
13 charged premium for services covered?

14 A. Yes.

15 Q. Are the rates excessive, inadequate, or
16 unfairly discriminatory?

17 A. They are not.

18 Q. Are the rates reasonable relative to the
19 benefits that are offered?

20 A. Yes.

21 Q. Do they provide for payment of claims,
22 administrative expenses, taxes, and regulatory fees and
23 have reasonable contingency and/or profit margin?

24 A. Yes.

25 Q. So they are adequate?

1 A. Yes.

2 Q. Do the rates exceed the rate needed to provide
3 for payment of claims, administrative expenses, taxes,
4 regulatory fees, and reasonable contingency and/or profit
5 margins?

6 A. They do not.

7 Q. So they are not excessive?

8 A. That's correct they are not excessive.

9 Q. Do the rates result in premium differences on
10 insureds within similar risk categories which are not
11 permissible under applicable law and do not reasonably
12 correspond to differences in expected costs?

13 A. Can you reask that question? You cut out for
14 a second. I'm sorry.

15 Q. Sure. Also the triple negatives, right?

16 A. Yes.

17 Q. Do the rates result in premium differences
18 among insureds within similar risk categories which are
19 not permissible under applicable law and do not reasonably
20 correspond to differences in expected costs?

21 A. They are in compliance with the law.

22 Q. So they are not unfairly discriminatory?

23 A. That's correct.

24 Q. So, Matt, there's one last issue I wanted to
25 touch on. Attorney Angoff referenced it in his opening

1 and that's the question around return on premium. So this
2 relates to 2020 not to your rate filing, but I wanted to
3 touch on it and have the Board hear MVP's view on any
4 anticipated return of premium in '20.

5 A. Okay.

6 Q. So go ahead. Tell them your view.

7 A. It's too early to tell is generally the
8 perspective. As I mentioned a few times we did experience
9 reduced claims for a few months, but in June our paid
10 level is back to where they were in January and February
11 preCOVID-19 levels. There's also a concern about the long
12 term implications of deferring care for members that are
13 in a higher morbidity state. As time goes on we're going
14 to keep reviewing the situation, monitor both those items,
15 paid volume as well as member health, to see if we're
16 seeing an escalation in morbidity. Until that time it's
17 too early to assess the situation of how 2020 will play
18 out.

19 Q. And, Matt, would you please go to exhibit G
20 which is in evidence?

21 A. Okay.

22 Q. So I'm just going to identify that you confirm
23 this is a July 7, 2020 letter to Chair Mullin. You can
24 see that on page 1, correct?

25 A. Correct.

1 Q. And this is relates to Blue Cross Blue
2 Shield's solvency opinion from the DFR for this year's
3 filing, correct?

4 A. That's correct.

5 Q. And then on the last page, it's a three-page
6 exhibit, shows the signature of Commissioner Pieciak,
7 correct?

8 A. That's correct.

9 Q. And everything is redacted in the letter with
10 the exception of two paragraphs that talk about this issue
11 about the return of premium, correct?

12 A. Correct.

13 Q. These are the only two paragraphs that you're
14 familiar with in the letter, correct?

15 A. That's correct.

16 Q. So what is -- what is the Commissioner saying
17 about this issue?

18 A. So from the first paragraph the question being
19 asked is have Vermonters overpaid for their health
20 insurance in 2020? The second paragraph addresses that
21 and says due to the current uncertainty around COVID-19 it
22 is too early to answer this question with confidence
23 regarding health insurance. Simply put, some effects of
24 COVID-19 are clearly positive in the short term for
25 company's solvency, while some of the longer-term effects

1 are likely negative. The scope of these effects are not
2 known at this time.

3 Q. So generally does the Commissioner of the
4 Department of Financial Regulation agree with us that a
5 premium return decision is premature?

6 A. Yes.

7 Q. So, Matt, just a couple closing questions.
8 The big issue we believe in the rate filing this year is
9 the COVID impact, correct?

10 A. Correct.

11 Q. And there's some uncertainty about this impact
12 on rates in 2021, correct?

13 A. Correct.

14 Q. But in your opinion has MVP reasonably
15 assessed that uncertainty based on available data?

16 A. Yes.

17 Q. In your opinion you have also relied on the
18 professional opinions of the actuaries at MVP like
19 yourself, correct?

20 A. That's correct.

21 Q. That is what actuaries are required to do is
22 measure uncertainty, correct?

23 A. Correct.

24 Q. And then would you agree with me the statutory
25 criteria that we just went through are interrelated?

1 A. Yes.

2 Q. They are not siloed, are they?

3 A. They are not.

4 Q. And any adjustments to a rate increase for
5 whatever reason all feed into a final number, correct?

6 A. Correct.

7 Q. It is important that final number is
8 actuarially sound and reasonable, correct?

9 A. Correct.

10 Q. And in this case you believe that number is
11 6.06 percent, correct?

12 A. That's correct.

13 Q. If the Board cuts the final number on
14 non-actuarial grounds, is there a risk that the rate could
15 be no longer adequate?

16 A. Yes.

17 Q. In contrast based on your testimony, the other
18 evidence that we've put in today, is the insurance product
19 we provide affordable with a 6.06 increase and meet all
20 the statutory criteria in your opinion?

21 A. Yes.

22 MR. KARNEDY: That's all the questions I
23 have for Matt at this time.

24 MR. BARBER: Okay. I think this is a
25 good point to take a short break before we get into

1 cross. So why don't we reconvene at 10:10. We're
2 doing okay on time.

3 MR. KARNEDY: Thank you very much.

4 (Recess.)

5 MR. BARBER: So we are back on record in
6 the matter of Docket Number GMCB-006-20rr MVP's 2021
7 individual and small group rate filing. Mr. Karnedy
8 just finished the direct examination of Matt Lombardo
9 and so now, Mr. Angoff, do you have cross exam
10 questions for this witness?

11 CROSS EXAMINATION

12 BY MR. ANGOFF:

13 Q. Good morning, Mr. Lombardo.

14 A. Good morning, Mr. Angoff. I hope you're doing
15 well.

16 Q. And I you. I would like to start by asking
17 you a few questions about the relationship between 2020
18 and 2021. I think you said in the middle of your
19 testimony that it would be actuarially unsound to reduce
20 rates in 2021 based on MVP's having paid out less in 2020
21 than it projected; is that correct?

22 A. That's correct. Rates are set so that the
23 premiums that we're charging will cover our expected claim
24 cost in calendar year 2021.

25 Q. And I was a little unclear as to what your

1 view was if it should turn out that 2020 -- that in 2020
2 MVP pays out less, let's assume substantially less, than
3 it assumed in its rate filing filed in 2019. Are you
4 saying -- are there any conditions under which you believe
5 that a refund of 2020 premium would be appropriate?

6 A. At this time it's too early to tell. We're
7 monitoring the situation and that's a decision that would
8 not be made by me as the actuary. My job is to provide an
9 actuarially sound rate, project what 2021 will look like,
10 and that's what we're doing in our rate filing.

11 Q. Okay. So it's not your -- well it's the
12 Board's decision, but you can envision a situation, can't
13 you, under which MVP -- when all the data are in MVP will
14 have paid out substantially less than it projected it
15 would pay out in 2020, correct?

16 A. It's still too early to tell. We only have
17 data paid through June and we did -- you know as I
18 mentioned in my testimony, we did see a suppression of
19 claims in March, April, and May, but June is back to
20 preCOVID-19 levels. January and February actually ran
21 very unfavorably for us across our enterprise. So if we
22 look at the whole year, it's something that's unknown at
23 this time.

24 Q. Okay. Then talking regarding paid claims
25 through June 2020 could you please take a look at exhibit

1 1 and turn to page 113?

2 A. Okay.

3 Q. Okay. You see the chart there at the bottom?

4 A. Yes.

5 Q. Okay, and that shows paid and incurred claims
6 between January 2019 and December 2019, correct?

7 A. That's correct.

8 Q. Okay. Just very briefly the difference
9 between the paid claims and incurred claims is pretty
10 nominal, but can you explain why there is that difference?

11 A. Yeah there can be a lag in when a claim --
12 when a claim is paid relative to when it's incurred. So,
13 for example, if I were to go to the doctor today for a
14 visit, that claim may not be paid out until August,
15 September, October, or November, in a future month. So if
16 we are looking at it, that visit that I have would show up
17 in the incurred line. If there was a July 2020 it would
18 be in that line, but it would not be in the paid line.

19 Q. Okay. Could you tell the Board and me where
20 your data is for paid and incurred data to the extent it
21 exists for 2020 by month?

22 A. It's not in this table because we're using
23 2019 data normalized for risk adjustment to set our rates.

24 Q. Okay. Didn't L&E ask you for that data?

25 A. I would have to go back into the

1 interrogatories and look.

2 Q. Can you point me to any place in your rate
3 filing where 2020 paid data by month appears?

4 A. Off the top of my head I would have to go
5 through all the exhibits to see exactly where that
6 information is included, if it is included.

7 Q. Please do, and, Mr. Lombardo, so we can
8 shortcut this if you should come to the conclusion L&E did
9 not ask you for that data, please say so.

10 A. It's going to take me some time. I do not see
11 it in the exhibits that I have reviewed.

12 Q. So you're reasonably certain then L&E did not
13 ask you for the monthly paid data in 2020, correct?

14 A. Well there's hundreds of pages and I haven't
15 read through all them. I don't recall that being
16 requested throughout the review process. I wouldn't say
17 that with one hundred percent certainty, but based on my
18 brief review and my recollection I do not see that
19 information.

20 Q. That's fine. Can you tell us now
21 approximately how much MVP did pay out month-by-month, or
22 if you don't have it month-by-month, for the total first
23 half of 2020?

24 A. I don't have that information at my
25 fingertips. That's not readily available. As I mentioned

1 January and February came in worse than expected. March,
2 April, and May were suppressed due to COVID and June is
3 returning to more normalized levels.

4 Q. Okay. So if March, April, and May were they
5 suppressed by 20 percent?

6 A. I don't know the exact number off the top of
7 my head to speak to that exactly.

8 Q. Could it have been more than 20 percent?

9 A. It could have been less it could have been
10 more.

11 Q. Okay. Rather than playing 20 questions will
12 you please submit for the Board, even though L&E did not
13 ask for it, would you please submit for the Board's
14 consideration your paid claims data to the extent it's
15 available by month for 2020?

16 MR. KARNEDY: I'm going to object. I
17 don't think the purpose of cross examination is to
18 ask a witness to be submitting exhibits.

19 MR. ANGOFF: Well this could not be more
20 relevant to what the Board has in front of it, and I
21 assume that based on their expertise L&E would have
22 asked for the monthly paid claims data for 2020. If
23 they have not, I think it's really pretty important
24 for the Board to consider that data.

25 MR. KARNEDY: I'm going to further

1 object on the ground L&E had an opportunity to submit
2 interrogatories to the Board and forward to MVP.
3 They could have asked them. They didn't. This is a
4 tight administrative process with tight deadlines and
5 I think it's inappropriate and I object.

6 MR. BARBER: So I think the question is
7 a fair one, whether MVP would submit that data. So I
8 would ask the witness to answer and then if we need
9 to have a dispute about the ability to compel, we can
10 do that. So could you please answer the question,
11 Mr. Lombardo?

12 MR. LOMBARDO: We have the data. If
13 we're required to provide it, we could provide it.
14 It is -- but it's important to remember 2020 is not
15 completed. There is no risk adjustment. 2020 is not
16 part of the rate setting process for 2021 to produce
17 an actuarially sound rate.

18 MR. ANGOFF: Thank you.

19 BY MR. ANGOFF:

20 Q. Mr. Lombardo, you talked quite a bit about the
21 costs that MVP would incur based on your estimates in
22 connection with the COVID virus vaccine, correct?

23 A. Yes.

24 Q. Okay and you hope, and boy I sure hope you're
25 right, you hope a vaccine would be available in 2021 and

1 you are including in the rate filing an amount based on a
2 vaccine being available in 2021, correct?

3 A. Yes that's correct.

4 Q. Okay, and I'm sure everybody here totally
5 agrees with your hope that that will be the case, but we
6 don't know that will be the case, correct?

7 A. It is not fully known at this time. It could
8 be improved already.

9 Q. It's all in our hopes?

10 A. It's an assumption. Yes it's a hope.

11 Q. Okay, but you are charging your policyholders
12 based on a hope, correct?

13 A. It's based on data that's available to us
14 whether it's federal government funding, FDA accelerated
15 process of expediting the approval. The fact that the
16 science community is almost fully focused on this it seems
17 it's based on all that data. It's not just something
18 that's pulled out of the air.

19 Q. Okay, and not to be a doomsayer, but I have
20 also heard people say, haven't you, and now I hope they
21 are wrong, that there's not going to be a vaccine for four
22 years. I mean you have heard those statements, right?

23 A. I've heard people reference the normal
24 approval timeline of a vaccine is usually somewhere in the
25 multiple year range, but I know this is a different

1 situation.

2 Q. Well I hope you're right.

3 A. Me too.

4 Q. You also assume that the cost of this vaccine
5 would be paid by MVP and it would be \$75 a shot, right?

6 A. Yes.

7 Q. Okay, but we don't know, do we, assuming there
8 is a vaccine whether or not the government will pay for
9 it, do we?

10 A. That's not known. I haven't seen anything
11 come across my e-mail that has suggested that's going to
12 be the case. I have not seen anything regarding that.

13 Q. Okay, but you assume the \$75 cost would be
14 paid by MVP, correct?

15 A. Yes.

16 Q. And then you also assumed 80 percent of the
17 population would get the vaccine, right?

18 A. That's correct.

19 Q. Okay, and again I hope you're right, but you
20 have heard about the antivaccers, right?

21 A. Yes.

22 Q. Okay. I know there's not much of an African
23 American community in Vermont, but have you heard that
24 among -- in the African American community there's a
25 substantial skepticism about a COVID virus vaccine?

1 A. I have not heard that.

2 Q. Okay, but once again none of us know what
3 percentage of people will take up the vaccine assuming
4 that there is a vaccine, correct?

5 A. We do not know the exact percentage, but the
6 fact that a vaccination like MMR, measles mumps rubella,
7 is higher than we're assuming that would suggest to me
8 that the antivaccer community is less than that percentage
9 whatever the MMR uptake is.

10 Q. Well again I hope you're right. You talked
11 previously a little bit about your administrative costs,
12 correct?

13 A. Yes.

14 Q. And MVP has had very substantial growth in
15 Vermont in the past several years, hasn't it?

16 A. Yes.

17 Q. And all things equal when a company has growth
18 in its business, growth in its number of policies, growth
19 in its premium, its administrative costs per member per
20 month should go down, shouldn't they?

21 A. Enterprise-wide we have not experienced growth
22 in membership. In Vermont we have, but the decreases in
23 New York have more than offset it for decrease overall in
24 our membership, and there's a lot of costs that are shared
25 amongst both states and they have to be spread across both

1 states. I use our claims operating system, our license
2 with Microsoft, that's not specific to Vermont. That is
3 something that's spread out regardless of how many members
4 we have across all states. So items such as that they
5 have to be weighed across the entire enterprise.

6 Q. Okay. So you're saying Vermont policyholders,
7 despite the fact that Vermont business of MVP has grown,
8 will be paying your enterprise-wide administrative costs
9 which include the New York business that has decreased,
10 correct?

11 A. Yes. Vermont is part of our enterprise.
12 Vermont members are part of our enterprise. So are New
13 York members. So yes everybody. It is a shared cost.

14 Q. Do you see any practical way of separating out
15 any of those costs so that Vermont policyholders don't pay
16 for your entire enterprise costs?

17 A. Not the ones that are shared across both
18 states. If it's something that's specific to Vermont,
19 then yes that is something that would decrease as time
20 goes on, but if it's -- if it's a shared cost such as,
21 like I said, a claims operating system or a Microsoft
22 license, that's not something -- unless we have overall
23 increase in our membership enterprise-wide, at that point
24 we could decrease our PMPM, and if we can decrease our
25 PMPM we can offer an even more affordable rate, more

1 competitive rate, and try to gain more market share. It
2 is our goal to work towards efficiencies and reduce cost
3 because that flows into our premium rate and our
4 competitive position.

5 Q. So do you see any practical way without
6 unfairly affecting your enterprise-wide costs of reducing
7 Vermonters -- the amount that Vermonters pay for
8 administrative costs?

9 A. Off the top of my head it's just a matter of
10 how are variable costs and how quickly we can adapt to our
11 variable costs. So off the top of my head I don't have a
12 specific item I can speak to. Again, to the extent that
13 we can offer a more competitive administrative fee then
14 that will help make our rate more affordable and more
15 competitive.

16 Q. What was the trend assumption you used in this
17 current filing -- total trend assumption?

18 A. I believe it was 7 percent. I would have to
19 refer back, but I believe it was 7 percent.

20 Q. Okay and what was your trend assumption last
21 year? What was your trend assumption in the 2019 filing
22 for 2020 rates?

23 A. I don't have that in front of me so I couldn't
24 speak to that, but our trend assumptions reflect the
25 expected change in our costs from the base period of 2019

1 into 2021. That's based on known increases as well as
2 conversations that we have had with some -- our provider
3 partners and assumed increases which are generally set
4 equal to for the Green Mountain Care Board hospital budget
5 items. They are set to the prior year rate increase with
6 the exception if we had a conversation with the facility
7 or provider group that's indicating otherwise.

8 Q. Okay.

9 A. And that is something we do have a strong
10 preference that the trend reflected in our rates are well
11 aligned with the approved hospital budgets. So to the
12 extent that information becomes available, that is our
13 preference. If that results in a decrease of the trend,
14 then we accept that that would still produce an
15 actuarially sound rate. Our concern is if the trend is
16 higher than we're predicting and an adjustment isn't made,
17 that will produce an unsound rate.

18 Q. Did I ask you what your trend was for 2020?

19 A. Well part of our rate filing has -- so our
20 2020 rate filing uses 2018 base experience and has a 2019
21 trend component and a 2020 trend component. So that 2020
22 portion of our 2020 rate filing is included in our rate
23 increases in this filing.

24 Q. Okay. I may have asked a bad question. Let
25 me ask what I hope is a better question. Did L&E ask you

1 what trend assumption you included in the filing that you
2 made in 2019 for your 2020 rates?

3 A. They did not specifically ask that, but that
4 is available, and with that said to produce an actuarially
5 sound rate what happened in that rate filing isn't
6 relevant unless there's a significant disconnect between
7 what actually -- the trend -- the actual trend is in 2020
8 versus what we're building into our rate.

9 Q. Whether or not it's relevant is something that
10 the Board will decide. Let me just ask you then can you
11 tell the Board right now what your trend assumption was in
12 your 2019 filing for 2020 rates?

13 A. No.

14 Q. In this filing you assumed an utilization
15 trend of 1 percent, correct?

16 A. That's correct.

17 Q. Okay, and could you turn please to exhibit 10
18 which is the hourly report and turn the page 8.

19 A. Okay. I'm ready whenever you and the Board
20 Members are.

21 Q. Okay, and before I ask you a question about
22 that page let me ask you about volatility in your rate
23 filing. You said that the volatility of the utilization
24 trend has been too great to use for medical utilization
25 trend purposes. Could you tell me what that means and why

1 that is?

2 A. Yes. As you reference we have grown our
3 membership in the Vermont individual and small group
4 market and with that we have population that's changing
5 over time, and also with that if we only isolate the one
6 portion of our experience that's been with us for the past
7 2 to 3 years, it's not representative of what we actually
8 are enrolling -- what our population is enrolled in right
9 now. Additionally, utilization trend is something that's
10 more market centric than specific to the carrier. So I
11 know last year L&E took utilization data from MVP as well
12 as Blue Cross. They did their own independent
13 calculations and relied on a reasonable trend assumption
14 of I believe they referenced 1 to 4 percent and we had 0
15 percent last year and they recommended that we increase it
16 to 1 percent. That's what we're assuming in our refiling
17 for this year for similar reasons because of the
18 volatility.

19 Q. I well recall L&E's recommendation that you
20 increase your trend assumption last year. Could you read
21 the first bullet on page 8?

22 MR. BARBER: What exhibit are we on?

23 MR. ANGOFF: That's exhibit 10, the L&E
24 letter of July 7, 2020.

25 MR. BARBER: Thanks.

1 A. The three-year annual utilization trend was
2 approximately 0.0 percent.

3 Q. Okay. So do you believe that the use of a 0
4 percent utilization trend in this current rate filing
5 would be unreasonable?

6 A. Based on the data that L&E analyzed there was
7 -- there's been increases in recent times of trend. If
8 those continue, 0 percent would be unreasonable. Using
9 L&E's four years of data to produce the last bullet,
10 regression analysis, using all four years of data produces
11 a utilization trend rate of approximately 1.2 percent. So
12 we're assuming 1 percent which is below that figure.

13 Q. Okay. So your position is that 0 percent
14 utilization trend would be unreasonable?

15 A. Based on L&E's analysis using market-wide data
16 yes 0 percent seems like it would be a little bit short.

17 Q. In your rate filing what did you assume
18 regarding the number of COVID virus cases that would occur
19 each day in Vermont for the rest of the year?

20 A. In 2020?

21 Q. Yes.

22 A. I'm sorry. You're referring to a specific
23 assumption in our rate filing. Could you just provide a
24 little bit more clarity please?

25 Q. Sure. Obviously in formulating 2021 rates and

1 in determining how the COVID virus would affect both how
2 much you pay out for the COVID virus claims and how much
3 you pay out for non-COVID-19 virus claims and deferred
4 claims you had to make some assumption, didn't you, as to
5 how many COVID virus claims there would be in Vermont in
6 2020. So all I'm asking you is what did you assume as to
7 the number of COVID virus claims per day that would occur
8 in Vermont in 2020?

9 A. We didn't make any assumption about COVID
10 virus claims per day in our rate filing. What we're
11 looking at in our rate filing is the impact of cancelling
12 services for two months and the impact that would have on
13 pent-up demand that would flow into 2021 that is not
14 specifically COVID virus related claims.

15 Q. But wouldn't you agree the number of COVID
16 virus claims that occur has a relationship to the amount
17 non-COVID-19 virus related claims that you would be
18 responsible for?

19 A. I guess I'm not clear on that question. I'll
20 answer the best I can, but we're assuming in 2021 that
21 there will be a vaccine widely available in early 2021
22 which would prevent and mitigate the number of actual
23 COVID virus claims that we would incur in 2021.

24 Q. Okay. So you made no assumption that the
25 number of COVID virus claims that would occur in 2020 in

1 formulating your rates for 2021; is that correct?

2 A. Our rates are assuming that 2021 will be a
3 normal prepandemic year. So the 2020 -- there is no
4 adjustment for COVID virus specific claims that we had to
5 make for that. We're assuming 2021 will be a normal year
6 with a little bit of pent-up demand for deferred services
7 and vaccination cost.

8 Q. Similarly you're making no assumption because
9 of your -- it's unnecessary to make such assumptions
10 regarding the number of COVID virus related
11 hospitalizations in 2020, correct?

12 A. That doesn't have a bearing on our 2021 rates
13 based on our data and our view of the world when we set
14 our rates in May.

15 Q. Okay. Did you follow the same -- the same
16 philosophy in formulating your New York rates?

17 A. Our New York rates assume the same COVID-19
18 assumptions which is pent-up demand and vaccination costs.

19 Q. Can I ask you please to turn to exhibit 4?

20 A. Okay.

21 Q. Okay. Mr. Lombardo, you're familiar, aren't
22 you, with the litigation in which MVP is a plaintiff
23 regarding the risk corridor program?

24 A. Yes.

25 Q. Okay and you're familiar, aren't you, with the

1 Supreme Court's decision in the industry's favor regarding
2 the risk corridor program litigation, correct?

3 A. That's correct.

4 Q. Okay. To what extent, if any, did you include
5 the risk corridor payments that you will receive based on
6 that litigation in this current filing?

7 A. So short answer is we did not assume anything,
8 but I think that requires you to understand what the risk
9 corridor program -- or requires an explanation. The risk
10 corridor program was rolled out in 2015, 2016 when the ACO
11 rolled out. The risk program intention was because there
12 was a lot of uncertainty about risk adjusting what the
13 individual market with these new rules would look like,
14 small group would look like with these new rules, and it
15 was a really challenging time for the costs. So the risk
16 corridor program was intended to mitigate gains against
17 losses that would be -- that would occur because of that
18 uncertainty. So the reason why we're due to receive this
19 1.785 million dollars is because we had costs, therefore,
20 financial losses that would -- exceeded our expectations
21 in that time period the government told us they would
22 reimburse us for and they did not, and it's not a hundred
23 percent of the losses recovered. It's just a portion of
24 those losses will be recovered.

25 Q. Agree, but so am I correct in understanding

1 that the total amount that MVP is to receive based on the
2 risk corridor litigation is 1.7 million?

3 A. Assuming that the Supreme Court decision
4 doesn't face any more barriers and payments are actually
5 distributed, which is still unclear at this time whether
6 or not that's going to happen, we would receive 1.785
7 million dollars.

8 Q. Okay, and is that for enterprise-wide MVP or
9 just for Vermont?

10 A. This is Vermont specific.

11 Q. Okay, and you're not including any of that in
12 the -- in this current rate filing, correct?

13 A. That's correct.

14 Q. Okay, and you said it's not clear what's going
15 to happen despite the fact there's been a Supreme Court
16 decision saying you all won, correct?

17 A. That's correct.

18 Q. Okay. Well there's no appeal from the Supreme
19 Court decision, is there?

20 A. That's my understanding.

21 Q. Okay, and then you say or your counsel says on
22 page 3 of this exhibit -- could you please read the second
23 sentence beginning with in complex litigation?

24 A. In complex litigation such as this it
25 typically takes a great deal of time to work through a

1 number of procedural and process issues. It is likely
2 there will be no resolution of the risk corridor
3 litigation in the foreseeable future much less when or if
4 payments will be made to health insurers.

5 Q. Much less when and if payments will be made to
6 health insurers. Mr. Lombardo, will you agree with me
7 that to put it kindly that statement is a little bit of an
8 over reach?

9 MR. KARNEDY: Object to the extent that
10 he's asking the witness to talk about litigation and
11 legal issues. It's beyond the scope of this
12 witness's expertise.

13 MR. ANGOFF: I'll withdraw the question.
14 BY MR. ANGOFF:

15 Q. Mr. Lombardo, if the proposed increase by MVP
16 this year were approved, to what extent would that affect
17 MVP's RBC ratio?

18 A. So with the adjustment from 7.34 percent down
19 to 6.06 percent our overall enterprise-wide RBC would not
20 be negatively impacted.

21 Q. Okay, and if the Board were to order no
22 increase this year, to what extent would that affect MVP's
23 RBC ratio?

24 A. It would have a negative effect on it. It
25 certainly would. The magnitude of it I'm not sure of, but

1 we do set our rates to be self supporting and self
2 sustaining so they can stand on their own.

3 Q. My question was to what extent would the Board
4 not approving any increase for MVP this year affect MVP's
5 RBC ratio?

6 A. It would negatively impact it.

7 Q. By how much?

8 A. I haven't done that calculation so I don't
9 know that. It's just the fact that if claims exceed our
10 expectations and we have no premium to cover it, that
11 would not be an actuarially sound rate. That would have
12 an adverse impact on our reserves levels.

13 Q. Would it affect your RBC ratio by 1 percent or
14 more?

15 A. I can't speak to that.

16 Q. You don't know. Could it affect it by 10
17 percent?

18 A. That's a calculation that we could perform.
19 It's not one that I just have off the top of my head.

20 MR. ANGOFF: Okay. I have no more
21 questions. Thank you, Mr. Lombardo.

22 MR. BARBER: Okay. Let's move to Board
23 questions. Before I do I just want to note for the
24 Board there was some questions about data for 2020
25 claims. I expect that in past years you've issued

1 follow-up questions after the hearing or questions
2 that came up. I anticipate that may be one that you
3 consider when you're considering what followup
4 hearing questions to ask. So with that I'm going to
5 start with Board Member Holmes.

6 MS. HOLMES: Feels like a little bit of
7 a lottery. We're never sure who's going to be called
8 upon next. I win or not.

9 Thank you very much for your testimony.
10 Very, very helpful. Appreciate it. So as you said
11 the big issue seems to be COVID, the COVID impact,
12 and there's a lot of uncertainty related to the
13 potential costs of COVID. Right? We have sort of
14 gone over that for the last few years, last few days.
15 So my question to you is with current unemployment
16 rates and furloughs and wage stagnation who do you
17 think can better afford to absorb potential downside
18 financial risks associated with the COVID
19 uncertainty, MVP or the individual policyholder?

20 MR. LOMBARDO: That's a good question.
21 Depending on the magnitude of it I mean the logical
22 explanation would be that MVP has more money in the
23 bank than most people, but that doesn't get to the
24 point of what an actuarially sound rate is and that's
25 what we're establishing is an actuarially sound rate.

1 Failing to increase premiums commensurate with the
2 way claims are increasing could potentially adversely
3 affect reserve levels and solvency issues and being
4 able to provide members with peace of mind, but it is
5 a really thin line that we're walking and it's
6 challenging.

7 MS. HOLMES: I appreciate that. So we
8 also heard varied assumptions about deferred care and
9 pent-up demand and how pent-up demand may be managed
10 over the next year. Differing assumptions and sadly
11 pent-up demand is actually not new to Vermont at all.
12 For years Vermonters have experienced long waits
13 especially for specialty services. So why, if
14 providers just have not expanded hours and worked
15 weekends to meet excess demand that we have had in
16 the past, do we expect them to do so now through the
17 extended period of time of nine months?

18 MR. LOMBARDO: Yeah similar issue does
19 occur in both states we operate, New York and
20 Vermont, where it can take six months to get into a
21 specialist. The concern is that the backlog has
22 grown so much that it's going to be unsustainable.
23 It's going to be kind of like an unbearable strain on
24 the system. So that's why we're assuming this is an
25 unique circumstance that's going to actually

1 accelerate and lead to increased work hours, working
2 over weekends, such as that. It's an unique
3 circumstance.

4 MS. HOLMES: Have you had specific
5 conversations with providers and hospitals that have
6 said they are opening up extra hours and expanding
7 weekend hours? Do you have data to support this is
8 actually going to happen?

9 MR. LOMBARDO: Personally I do not.
10 Conversations I have had with MVP employees that do
11 have those conversations that speak with providers,
12 hospitals, have discussed extending hours, working
13 weekends, items such as that.

14 MS. HOLMES: Switching gears a little
15 bit has MVP tried to estimate the dollar value or the
16 amount of waste or unnecessary care in the Vermont
17 member population just in general? Low value care,
18 unnecessary care, waste, any estimate of that, that
19 you have tried to do?

20 MR. LOMBARDO: So we have -- I'm not
21 familiar with us putting a dollar amount on that, but
22 we do have a couple different items. We have a SIU
23 unit, special investigations unit, that researches
24 potential fraud patterns and abuse of the system. We
25 also have quality and credentialing for our Vermont

1 population, and that ensures that we have -- that
2 we're being -- strict set of quality metrics to make
3 sure we're providing quality provider experience to
4 our members. If providers don't meet those minimum
5 requirements, then they are not allowed in the
6 network, and to actually be able to identify specific
7 cases, I'm not aware of any analysis, but we do have
8 the work in place to ensure that we're not letting
9 bad actors into the system other than through our SIU
10 unit.

11 MS. HOLMES: So with that SIU unit can
12 you tell me a little about the percentage of claims
13 that you typically recover as a portion or a part of
14 that SIU unit, you know, percentage of claims you
15 might recover from fraud or abuse and also what
16 you're anticipating for 2020 -- 2021?

17 MR. LOMBARDO: So I don't know those
18 numbers off the top of my head, but I do remember
19 that question being asked last year and the followup
20 was that it was not a really large number. It wasn't
21 something that would swing the percentage increase by
22 -- you know, by a significant amount. We could
23 follow up with additional information for the current
24 year, but if it's consistent with what we've seen in
25 prior years, it's a not zero amount, but it's not an

1 amount that is actually going to swing our rate
2 increase to be something materially different than
3 what it already is.

4 MS. HOLMES: I would really appreciate
5 an historical look back at the percentage of claims
6 you do recover with that SIU unit and what you're
7 anticipating for this year, what's baked into your
8 rate would be appreciated. What annual increase in
9 wages and salaries is assumed in the 2021
10 administrative expenses?

11 MR. LOMBARDO: That detailed item I
12 would have to follow up with our financial planning
13 team. I know that there is a small increase, but I'm
14 not sure what that specific number is.

15 MS. HOLMES: Okay. I would appreciate
16 that followup as well. Another question in the -- if
17 you could go to exhibit 6 page 2, make sure I'm on
18 the right page, this is the answer to a question
19 about the comparison of actual to expected pharmacy
20 allowed trend over time, and just in looking at this
21 the actual realized trend is significantly less than
22 the expected trend year after year after year by a
23 fairly large margin with the expected trend rates
24 seem to be overestimated year after year and those
25 are presumably baked into premium rates. So can you

1 speak to me about why the miss is so long and why we
2 should believe whatever the expected pharmacy allowed
3 or pharmacy trend for 2021 is?

4 MR. LOMBARDO: Yes. So it's a great
5 question. The pharmacy trend in and of itself is not
6 telling. So when we produce our trend, when we
7 receive our trend forecast from our PBM it's assuming
8 a static population where we're not -- basically
9 whoever we had in the -- I believe it's based on 2019
10 data. So assuming there won't be any changes to that
11 population in 2020 or 2021. Because we've grown and
12 our risk profile has changed those trend figures --
13 the actual trends need to be normalized out for risk
14 adjustment changes. Unfortunately risk adjustment
15 doesn't get to just the specific -- one specific item
16 you can say this is pharmacy related. Everything is
17 kind of interrelated, but what I can speak to is the
18 fact that our actual trends have come in favorable
19 yet our risk adjustment payments have increased over
20 time. So what that's telling me the morbidity of our
21 population is healthier. So that would lead to a
22 lower trend, but then we're paying back into risk
23 adjustment a larger amount to normalize ourselves
24 back. It's something that you can't really decouple
25 it into one item. Everything is intertwined, but it

1 has to be looked at with market or morbidity changes
2 of our population as well as risk adjustment.

3 MS. HOLMES: And there's no way to
4 quantify the net effect?

5 MR. LOMBARDO: I would say that's not
6 that I'm aware of and I have thought about it and my
7 team has thought about it and there's not a specific
8 way that we've figured out to identify that.

9 MS. HOLMES: If you could turn to
10 exhibit 9, another set of questions, pages 17 at the
11 bottom, really the top of 18 in which MVP talks about
12 the significant cost savings that have been
13 materializing because of the high use of
14 telemedicine, in particular, the substitute of a
15 telemedicine visit for urgent care visit or an
16 emergency room visit, substantial cost savings,
17 significant cost savings to use your words, and I'm
18 just wondering how you factor that into your cost
19 estimates, medical cost utilization estimates for
20 2021. Assuming telemedicine is here to stay how is
21 that factored into your trend going forward in 2021?

22 MR. LOMBARDO: So we have seen an uptick
23 in telemedicine usage as the COVID pandemic has
24 broken out. It is still not -- relative to our
25 overall cost of our book of business it's still a

1 very small amount, and we are assuming that once as
2 people are learning how to navigate through the
3 pandemic more intelligently we're assuming that 2021
4 is going to look more like 2019. So as a result
5 there isn't any sort of explicit adjustment being
6 made for continued higher utilization of
7 telemedicine. Even with that I would say that the
8 overall cost relative to the total projected claims
9 for telemedicine, if we did assume increased
10 utilization, I wouldn't anticipate an overall
11 material reduction to claims in the aggregate.

12 MS. HOLMES: Even though you talk about
13 here a significant cost savings associated with
14 telemedicine?

15 MR. LOMBARDO: In a total dollar amount
16 it can be -- it can appear to be something like a
17 substantial number on a percentage basis, and when
18 you spread it out over 35,000 members it's a much
19 smaller figure.

20 MS. HOLMES: Okay. My last question was
21 did you factor in any additional administrative cost
22 to implement the separate abortion billing that at
23 the time you submitted this filing -- MVP submitted
24 this filing it was referenced but has now changed.
25 I'm wondering is there a specific administrative cost

1 associated with separate abortion billing.

2 MR. LOMBARDO: I know that is something
3 that was considered. I don't know specifically how
4 much that is actually worth in the overall
5 projection. I would have to follow up with our
6 financial planning team on that.

7 MS. HOLMES: Okay. That would be great.
8 I have some questions that are in some of the
9 confidential materials, but I'm assuming we'll go
10 into an executive session so I will hold off on that.
11 Does that sound good, Mike Barber?

12 MR. BARBER: Yes. Let's get through all
13 the non-confidential questions you have and then
14 we'll go through the steps of going into executive
15 session for any confidential questions.

16 MS. HOLMES: Okay. Then I am done.
17 Thank you.

18 MR. LOMBARDO: Thank you.

19 MR. BARBER: Just to let Board Members
20 know the order is however you are organized on my
21 screen. So the next up is Robin.

22 MS. LUNGE: Great. Hi Matt.

23 MR. LOMBARDO: Hi Robin, how are you?

24 MS. LUNGE: I'm great. Thank you. So I
25 just have a couple followup questions which overlap

1 with the areas Jess just asked about. So just to
2 start with telehealth you in your actuarial memo
3 provided some information about web site traffic and
4 number of sessions. Actually I'm sorry. That's in
5 your prefiled testimony. Do you have data on how
6 many of the visits are with Vermont providers as
7 opposed to either MVP staff or out-of-state
8 providers?

9 MR. LOMBARDO: That is not something
10 that I've seen that breakdown. That doesn't mean
11 it's not available if requested. It's just not a
12 breakdown I have seen.

13 MS. LUNGE: Okay, and so it would be
14 interesting to know that because I understand from
15 your list of 22 that promoting primary care and care
16 coordination is something you're committed to. So
17 understanding how your promotion of telehealth would
18 direct that priority would be helpful, but I'll wait
19 for a followup.

20 MR. LOMBARDO: I'll just add to that in
21 a little bit. In the past so there's kind of two not
22 physical visits you can have. One is we classify as
23 telemedicine. The other one is telehealth.
24 Telemedicine is using MVP's My Visit Now app which is
25 using the online care group which is a national set

1 of providers. Telehealth is a replacement for a
2 physical visit with the PCP or the specialist that's
3 in your community down the street from your house.
4 So we do -- we have seen an increase in both of those
5 during the pandemic. The exact splits I can't speak
6 to though.

7 MS. LUNGE: Okay. Great. Thank you.

8 MR. LOMBARDO: Yes.

9 MS. LUNGE: And I would -- I can also do
10 this I think as a followup given your response to
11 Jess, but it would be interesting to have the dollar
12 figures and the percentage that you referenced in
13 your answer to her question about the magnitude, but
14 we can include that in a followup.

15 MR. LOMBARDO: Okay.

16 MS. LUNGE: Do you know how many MVP
17 policyholders have COVID related claims?

18 MR. LOMBARDO: Across our enterprise I
19 do not.

20 MS. LUNGE: I'm specifically interested
21 in Vermont of course.

22 MR. LOMBARDO: I know that we have
23 somewhere around 50 patient admissions related to
24 COVID as of the end of June for Vermont commercial
25 members.

1 MS. LUNGE: Great. Jess asked a lot of
2 my same questions so I'm just jumping through them.
3 So then to follow up on your answer related to the
4 source of information about providers working nights
5 and weekends, I know you didn't speak to them
6 personally, but do you have information or data from
7 your medical management team in terms of who they
8 spoke to in Vermont? Which providers? How many
9 providers?

10 MR. LOMBARDO: That's something I would
11 have to speak specifically to other people at MVP
12 about.

13 MS. LUNGE: Okay, and then the last
14 question I have I think, it's not specifically in the
15 confidential material so I'm going to ask the
16 question and then have you pause so that your
17 attorney can indicate whether or not this should be a
18 confidential answer because I don't want to bring it
19 out if it's supposed to be confidential, but I'm not
20 sure. So I know that MVP has been moving towards
21 implementation of an ACO program. I was interested
22 in your future plans around participation including
23 potential changes to your payment methodologies and
24 your rates.

25 MR. KARNEDY: I wonder -- not knowing

1 what my esteemed witness is going to say in response
2 to that, I wonder if we could just add that question
3 in the confidential session if we're going to be
4 doing that if that's possible.

5 MS. LUNGE: For Mike's benefit the
6 reason why I thought it might be included in the
7 confidential portion is because in the answers to
8 some of the questions about the ACO program things
9 like the risk orders and the type of arrangement were
10 marked as confidential. So I think it's similar.

11 MR. BARBER: Yeah I think you're right.
12 We do need to figure that out before we go into
13 executive session, though, because we should not be
14 asking questions that call for non-confidential
15 responses in the executive session. So, Amerin, does
16 that sound right to you as the one whose been kind of
17 working on the confidential requests?

18 MS. ABORJAILY: Yes it does and I would
19 say a non-confidential answer during the executive
20 session we can reask the question when we're back
21 out.

22 MR. KARNEDY: I think that sounds like a
23 good approach. Thank you.

24 MS. LUNGE: Okay. Let me just check my
25 -- I did have one more question about the medical

1 trend. So, Matt, you had indicated in your testimony
2 that you used the information you had about the Green
3 Mountain approved hospital budget rates with some
4 exceptions with information from your contracting
5 department. I believe that one of those exceptions
6 related to the UVM Health Network.

7 MR. LOMBARDO: I think that's something
8 that's in the confidential section.

9 MS. LUNGE: Okay. Sorry. All right.
10 Well then I will ask about that specific negotiation
11 in the confidential section. So that's my last
12 question.

13 MR. LOMBARDO: Thank you.

14 MR. BARBER: Okay. Maureen.

15 MS. USIFER: Great. Thanks. Hi Matt.

16 MR. LOMBARDO: Hi.

17 MS. USIFER: I have something that would
18 also go into confidential, but the ones that aren't
19 can you give an idea what percentage of management
20 costs are fixed?

21 MR. LOMBARDO: I believe that's
22 something that L&E had asked us to provide in their
23 memo data set and it is around 50 percent.

24 MS. USIFER: 50 percent. Okay. That's
25 what I would -- that seems like a fair number. Can

1 you tell me what does one percent hospital -- for the
2 Vermont hospitals what does a one percent hospital
3 rate increase translate to, to a total rate increase?

4 MR. LOMBARDO: I would have to pull up
5 our exact utilization. We do have that. It's not --
6 it's going to be something that's less than one
7 percent obviously for each year.

8 MS. USIFER: Right.

9 MR. LOMBARDO: To simplify it
10 approximately 80 percent of our claims are processed
11 through are medical claims, 20 percent are pharmacy
12 give or take 5 percent, and then there's a subset of
13 them that are subject to the Vermont hospital budget.
14 So it would be something -- one year is something
15 less than one percent. One percent impact on trend
16 on the hospital budgets for one year would be
17 something that's less than .8 percent is my
18 approximation. Probably .5 to .7, but that's an
19 estimate.

20 MS. USIFER: I think it may be .3 to .5
21 because you would also have to remember that only
22 half of your volume comes from Vermont hospitals I
23 think, right?

24 MR. LOMBARDO: So --

25 MS. USIFER: Whatever you take I'm

1 saying for Vermont hospitals there's a 1 percent
2 increase, but if you can get back to us on the
3 number?

4 MR. LOMBARDO: Yup.

5 MS. USIFER: You know what I mean. I'm
6 saying I think you have about half outside of Vermont
7 and maybe a little less than that.

8 MR. LOMBARDO: Yeah. My mind was going
9 to UVM physicians because I know they are subject to
10 it and they do take up a physician cost, but that's a
11 calculation we could do. It doesn't seem
12 unreasonable around a half percent.

13 MS. USIFER: Right, a little lower, but
14 we'll get the number in. And then just I guess --
15 and maybe this was a followup that we're going to
16 get, but on the June preCOVID-19 we know it's not --
17 it's back to almost preCOVID-19 levels, but is it 80
18 to 90 percent? Is it -- we're not seeing Vermont
19 hospitals back near a hundred percent. So they are
20 coming back for sure, but I haven't heard of any in
21 June that were at a hundred percent. There were many
22 at 70, 80 percent. So just trying to get a read on
23 what percent increase that maybe would have been a
24 followup from Jess's questioning earlier, but just
25 want to make sure we get that number.

1 MR. LOMBARDO: Okay. It's something we
2 can provide.

3 MS. USIFER: And then on the schedule we
4 looked at before under A18 we talked a little bit
5 about this I think last year too where members can
6 compare prices.

7 MR. KARNEDY: Which exhibit are we
8 referencing? I apologize.

9 MS. USIFER: Sorry. 8 page 18. It
10 refers to the telemedicine we were talking about.

11 MR. KARNEDY: Exhibit number 8?

12 MS. USIFER: No. A as in Alfred. I
13 guess it's 8A. Sorry. You're right. 8A page 18.

14 MR. BARBER: I don't have a 8A.

15 MS. USIFER: The question is really the
16 consumers have the ability to check prices before
17 they go to providers. I think we talked a little bit
18 about that and as we know we're saying everyone's
19 pocketbook is stretched. We have encouraged
20 consumers to do that more to the extent it's so
21 convenient for them to find a provider in their area
22 at maybe a lower price because often that impacts
23 what they pay for deductibles and out of pockets, but
24 then it should also carry forward to what MVP is
25 paying. So you know what types of savings are you

1 seeing there and how can we push that? How can you
2 guys push that harder so it should help everybody?

3 MR. LOMBARDO: Yeah so there's a few
4 items to pack in there. First is there isn't a way
5 -- it's a separate system. The online cost tool
6 calculation is different from our claims system. So
7 tying those items back to one another is not
8 something that is -- it would take a huge manual
9 effort to identify that, but we are promoting
10 alternative ways of accessing care, whether it's
11 through our Tri Cal Medicine First web site or it's
12 just through member communications because I agree as
13 members make more intelligent decisions in terms of
14 cost that will reduce costs overall and will pass on
15 to premium rates in future years.

16 To specifically identify how much that's
17 going to impact it we don't have the ability to do
18 that based on the way our systems are set up.

19 MS. USIFER: Thank you, and can you talk
20 about any other major cost saving initiatives that
21 are in the works and when we would expect to see the
22 benefits of those?

23 MR. LOMBARDO: So from an administrative
24 perspective we're taking on a lean initiative to
25 identify areas where we can replace manual

1 intervention with a computer or behind the scenes.
2 So something like a case manager or it's someone in
3 the claims processing area rather than them having to
4 physically take copies and fax them or print them
5 we're automating those types of items in a hope to
6 reduce admin in the future. So those assumptions are
7 definitely taken into consideration when we look at
8 our 2021 cost, staffing levels that will fluctuate as
9 a result of that, and you know that's definitely a
10 major initiative that we're undertaking to help reign
11 in administrative costs.

12 We've also reviewing any contracts that
13 we have because we've been -- we rolled out Microsoft
14 Teams about a month before the pandemic broke. It
15 worked out pretty well for us. So we have had a
16 pretty good transition to working from home and we're
17 reviewing contracts and how are we going to approach
18 our business in the future. There are -- what I have
19 heard based on conversations is some of the contracts
20 and leases that we signed are not short term. They
21 are longer term projects. So it may not be realized
22 any time in the next year or two, but that is
23 something that we are considering as an organization
24 is how do we approach work in the future post
25 pandemic.

1 MS. USIFER: Okay. And then just back
2 to the chart on 62 page 2, the pharmacy trend, you
3 talked a little bit about the growth in the number of
4 people, but it's still growing but it's a little more
5 stable I would think. So it would seem -- and you
6 said that basically it gets made up for in the risk
7 transfer, but -- if you have it wrong, but if you got
8 the pharmacy trend right or closer to being more
9 accurate to reference what's been happening, then
10 would the -- wouldn't that make the risk adjustment
11 less when it came to pass? I think it would be
12 better to try to get, you know, this as close as you
13 can, and if the trend has been better each year, you
14 know, I don't think the risk adjustment -- the
15 forward risk adjustment would already be picking that
16 up. So I have to understand wouldn't this just be an
17 offset if this were a trend at the end of the year?
18 There's a lot that goes into the risk adjustment.

19 MR. LOMBARDO: So the question was cut
20 out a little bit, but I'm going to answer it as best
21 I can based on what I heard. Our rates are set to a
22 2019 experience and then normalizing for risk
23 adjustment. That's projected to 2021 which is
24 implicitly assuming there won't be a population
25 change, but because of risk adjustment we are

1 agnostic to population changes. So if we do enroll a
2 healthier population in 2021, then our claims will
3 come down, but in theory when we receive 2021 risk
4 adjustment it will be a higher payment to normalize
5 us back up to that level. So I hope that answers
6 your question, and if you asked anything differently
7 that I didn't hear, please let me know.

8 MS. USIFER: I guess it's just if we
9 know -- if we believe that the trending for pharmacy
10 has been better each year, wouldn't that come --
11 wouldn't that change some of the assumptions that you
12 have just as you do for utilization trends and on the
13 medical side?

14 MR. LOMBARDO: If we were to reduce our
15 trend expecting a healthier population, we would have
16 to make a corresponding increase to our risk
17 adjustment payment which would basically put us back
18 at the same point. So the fact is that we're
19 assuming a static population that's normalized for
20 risk adjustment and that makes it -- if we were to
21 assume population changes, we would have to also make
22 a corresponding risk adjustment to each.

23 MS. USIFER: Okay and then I understand,
24 you know, that this is for 2021 and electives may
25 come back from '20 into 2021. That's the filing

1 we're looking at, but can you just, I guess, give me
2 a yes or no answer to any benefits that occurred in
3 '20 for Vermonters that didn't happen, how that will
4 impact the surplus for 2021. So if we end up being
5 favorable at the end of the day, which I know you
6 said is too early to call right now, that would
7 impact the surplus; is that correct?

8 MR. LOMBARDO: If claims come in
9 favorable, then that does fall to the bottom line in
10 the short term.

11 MS. USIFER: Okay. That's all I have.
12 Thanks.

13 MR. LOMBARDO: Thank you.

14 MR. BARBER: Tom, do you have questions
15 for Matt?

16 MR. PELHAM: I do. Just a few. So my
17 first one is just a very top side question. Oh good
18 morning.

19 MR. LOMBARDO: Good morning.

20 MR. PELHAM: It's a very top side
21 question, and if you turn to page 3 of the first
22 exhibit, and I'm just looking at the written premium
23 for this program at 248.9 million dollars. So that
24 I'm just trying to make sure I understand what that
25 is. So that is your estimate, your projection, at

1 this point in time or when this filing was made of
2 the premium to be garnered from the 2020 approved
3 rates?

4 MR. LOMBARDO: I would have to look if
5 that is the projection for 2020 or 2021.

6 MR. PELHAM: Well the 258 is I think
7 2020 because if you add the 18.2 million on to it,
8 you come to the 267,204,274 number exactly which is
9 your 2021 number.

10 MR. LOMBARDO: Yes I agree page four
11 does break it out. So the 2020 figure is 248
12 million.

13 MR. PELHAM: Right, and so when that
14 rate was approved last August, this time last year,
15 the projection was 207.7 million dollars and that
16 number -- also I can give you an exhibit where that
17 number can be found. I am -- I'm not sure this is
18 confidential or not, but this is off our web site.
19 So I'm just wondering what are the moving parts
20 between a -- I know some of it is membership, but
21 between a 207.7 million dollar projection after going
22 through all this actuarial scrubbing which it did and
23 now we're at 248.9 million as a projection which is a
24 20 percent difference.

25 MR. LOMBARDO: That is driven by

1 membership changes. So our projecting claims at the
2 time is our membership snapshot times our target loss
3 ratio because we don't have a revised estimate when
4 we're submitting our rates. Our membership increased
5 by approximately 20 percent which is why the premium
6 is also increasing by 20 percent. If it's not exact,
7 it could be because benefits changed a little bit.
8 You know maybe we saw a shift in our membership from
9 distribution of gold versus silver versus bronze,
10 things like that, but in general it's just reflecting
11 our target loss ratio times our projected premium as
12 of February 2020.

13 MR. PELHAM: And in these actuarial
14 projections I mean there are estimates about what the
15 membership will be. So it all gets translated to a
16 per member per month basis, but you think most of
17 that difference is explained by the actual membership
18 that showed up?

19 MR. LOMBARDO: Yes. You reference 20
20 percent gain in -- or 20 percent increase in premium
21 and/or in incurred claims. That's -- I have 20
22 percent in my mind for the membership increase
23 approximately. So that goes together for me.

24 MR. PELHAM: And just a quick question
25 when you're -- at this point in time we're looking at

1 a 6.06 percent increase. That still is kind of out
2 of alignment with the all payer model hope of three
3 and a half percent total cost of care by 2022, and do
4 you have any insight or any thoughts about that or is
5 your actuarial analysis as it should be just
6 completely independent of the fact that the State of
7 Vermont has signed an agreement with the federal
8 government for the all payer model?

9 MR. LOMBARDO: It's our rate is set
10 based on our projection of incurred claims from 2019
11 to 2021. Our best estimate of what will actually
12 happen in 2021 is what's captured in our rates. The
13 three and a half percent figure that would be great
14 if we can achieve that. You know if we arrive at
15 that, that's fantastic because that will make
16 premiums more affordable, more competitive, but our
17 rate is set so that's actuarially sound and our best
18 estimate that is 6.06 percent.

19 MR. PELHAM: Okay. So my next question
20 is a quick one on just what I call the premium cliff
21 and I just want to give an example. The data is on
22 exhibit 1 page 110. You don't have to go there if
23 you don't want to, and the other is the federal
24 poverty guidelines in exhibit 21. So I'm looking at
25 a -- at your chart on exhibit 1 page 110 that talks

1 about the 2021 exchange rates, and I'm looking at a
2 specific amount associated with a couple that is an
3 analysis that DVHA actually did on this exact plan
4 for 2020 over 2019, and so the rate for a couple
5 there is \$1,020.56 a month for an annual amount of
6 \$12,246, and at 400 percent of poverty the income is
7 \$68,960 which means that rate is a 17.8 percent --
8 17.8 percent of the couple's income, and at \$69,800
9 that's a couple one making 30, one making 40, maybe
10 they are younger than I am, but in their late 40's or
11 50's. They might have a kid in college trying to
12 save for retirement. I'm trying to put some feeling
13 to it. Do you think that that 17.8 percent rate is
14 affordable?

15 MR. LOMBARDO: That rate is -- the rate
16 is set to be affordable in the sense that it is an
17 actuarially sound rate where we are doing everything
18 we can to manage costs to be as low as possible and
19 that's what we're doing, and I recognize how large
20 those claims can seem -- or how high that premium can
21 seem, but it goes back to what I commented how skewed
22 toward the right tail costs are, and I recognize that
23 most people pay a significant amount of premium and
24 don't incur a comparable amount of claims, but every
25 year there are some people and there's others with

1 chronic conditions that every year continue to drive
2 the bulk of that in this case 2020 rate, and our
3 rates are being set to be actuarially sound, and as
4 much as that 17 percent figure is intimidating that
5 is the cost of covering our block of business and
6 providing these benefits.

7 MR. PELHAM: Now maybe you're not
8 familiar with this, but there was this study that was
9 done by DVHA and DFR this last year. It was called
10 the 2019 Report on Health Insurance Affordability and
11 Merged Markets, and the report cites MVP as one of
12 its contributing stakeholders. So are you familiar
13 with that report at all?

14 MR. LOMBARDO: I'm not familiar with
15 that.

16 MR. PELHAM: Okay. Then it just has
17 some -- it is done by Wakely and it has profiles of
18 some options that will help flatten the premium
19 cliff, and a couple of them are pretty cheap relative
20 to the problem.

21 So in terms of looking at your trend
22 analysis do you have a sense of what percent of the
23 claims are associated with independent providers --
24 providers that are independent of hospitals?

25 MR. LOMBARDO: We do have that

1 breakdown. I believe it's provided in one of the
2 confidential exhibits. I just would have to refer to
3 it.

4 MR. PELHAM: If you can point me to it.
5 You don't have to do it right now. We can do it
6 later on in the confidential session, but let's see.
7 I have asked probably my last question. Mike will be
8 happy. I'm looking at this wellness benefit in
9 addition at the \$600 bonus benefit. I started to ask
10 Blue Cross Blue Shield about it yesterday, but then I
11 realized it was you guys. So I wasn't clear where it
12 talks about 88 cents per member per month whether
13 that applied to all 443,766 member months or was that
14 just applied to people who invoked the rider.

15 MR. LOMBARDO: If you purchase a
16 non-standard plan, it's automatically included in
17 your benefits and that 88 cent load is a plan
18 specific adjustment. So if you purchase a standard
19 plan, that load is not included in your rates. If
20 you purchase a non-standard plan, it is included in
21 the rates.

22 MR. PELHAM: Okay. Thank you. That's
23 all. Thank you.

24 MR. LOMBARDO: Thank you.

25 MR. BARBER: Mr. Chair.

1 CHAIRMAN MULLIN: Thank you. Good
2 morning, Mr. Lombardo. Can you refresh my memory
3 does MVP own or lease the corporate headquarters in
4 Schnectady?

5 MR. LOMBARDO: We lease them.

6 CHAIRMAN MULLIN: Okay, and you said
7 that you had long term obligations on that. So I
8 would -- like the rest of the world many people are
9 trying to figure out who needs to go back to the
10 office, but that's a sticky issue as far as still
11 having to have the responsibility for the space.

12 MR. LOMBARDO: Yes. I don't know a ton
13 of details about it, but yes that is something that
14 we have a COVID-19 work force task planning committee
15 that is looking at what does the future look like,
16 what does the post pandemic world look like, and
17 these are all items that we're considering.

18 CHAIRMAN MULLIN: And did MVP see a
19 significant drop in expenses related to, for example,
20 travel to conferences, use of the copier, those type
21 of things, office supplies?

22 MR. LOMBARDO: Those -- yes there were
23 decreases to cost. Those are good examples. We did
24 cancel conferences and travel and copying. I'm in
25 the office for the first time since mid March and

1 there's only one other person on the floor that I
2 have seen. So yes reduced copy costs, but there are
3 increased costs for other items. I know we had to
4 boost our BPN. The BPN we had prior to the pandemic
5 that wasn't something that could support 95 percent
6 of our work force -- 95 percent plus working from
7 home. So as much as there are decreases in costs for
8 certain items there are offsets in other places.

9 CHAIRMAN MULLIN: Okay. You know
10 everything we try to focus on actuarial value and yet
11 clearly this year the theme is uncertainty.
12 Everything is somewhat speculative in nature. You've
13 created a scenario based on how you will seek pent-up
14 demand going into the first few months of 2021 and a
15 resumption to more normal times and that you kind of
16 refer to how things seemed to normalize in June, but
17 it couldn't just be that June was making up for two
18 and a half months previous, and couldn't a likely
19 scenario be a possibility, I'm not saying it's a
20 probability, but a possibility that people will have
21 fear to go back to medical settings so utilization
22 will be reduced, especially in settings like the ER.
23 With the cancellation of fall sports and not just for
24 students, but adults and things like that, that there
25 would be a lot less orthopedic procedures and

1 carrying that forward even those initial plans may
2 fall into 2020, the PT and things going into 2021 may
3 be reduced, could we likewise see a reduction in
4 infectious disease because people are washing their
5 hands, people are being socially distant, they are
6 not driving as much, things like that. So couldn't
7 just as likely a scenario be a reduction?

8 MR. LOMBARDO: It's -- there's a lot of
9 scenarios that can take place. Based on our -- what
10 we're seeing, our conversations with providers, with
11 our internal folks, we're expecting 2021 -- our
12 scenario, best estimate, our 2021 will look like a
13 prepandemic world, but we do recognize there could be
14 cancellations or reductions because there are fewer
15 people that are going to be skiing this year that
16 could blow out their knee, stuff like that, but
17 assuming that the vaccination is approved in early
18 2021 we expect for the most part 2021 will have a
19 higher -- will just be a more normal year.

20 CHAIRMAN MULLIN: Have you seen any
21 increase in retirements from older providers?

22 MR. LOMBARDO: That's not something I
23 know off the top of my head. That would be something
24 we would have to -- I would have to follow up with
25 our provider team. I don't know if that's something

1 they track. I can't guarantee we can get that
2 information, but the fear of COVID is definitely a
3 very real issue, and recognizing that the elder
4 population is higher risk that's something, though,
5 that I don't know off the top of my head.

6 CHAIRMAN MULLIN: So we get to see --
7 this has no relationship to the hearing today, but we
8 get to see Vermont numbers, we get to see North
9 Country numbers, we get to see State of New York
10 numbers. I'm just curious how you in the Capital
11 District are doing. Do you feel safe walking the
12 streets down there?

13 MR. LOMBARDO: Yeah thanks for asking
14 that. It's where I live. You know it depends where
15 you are. Where I grew up it's much more urban.
16 Where I currently live is much more like Vermont
17 where most of Vermont where there's space between the
18 houses, right, and I feel a little bit safer walking
19 outside there. It's still not -- I haven't hung out
20 with any of my friends. All I've seen is my parents
21 and my in-laws. It's a weird world. We're just
22 trying to be as cautious as possible as a family, be
23 cognizant of the people around us. Not just myself,
24 my wife and two kids, but also my family. You know
25 it's -- I hope you guys are doing well too. It's

1 just such a strange world. Just want to get over it
2 so people get back to normal and be healthy again and
3 not worry and live in fear.

4 CHAIRMAN MULLIN: I think for the
5 majority we all feel very grateful that we live in an
6 area where there is social distancing just because of
7 our rural nature. So we're blessed that. I have no
8 further questions. Thank you.

9 MR. LOMBARDO: Thank you and I
10 appreciate you asking that question. Appreciate
11 that.

12 MR. BARBER: So before we go through the
13 mechanics of an executive session, Mr. Karnedy, do
14 you have any redirect for Matt on the
15 non-confidential questions and answers?

16 MR. KARNEDY: I just have one question.

17 REDIRECT EXAMINATION

18 BY MR. KARNEDY:

19 Q. How much time have you had to spend with your
20 in-laws?

21 A. I mean, you know, this is -- I actually have a
22 great relationship with my in-laws. I enjoy them, but
23 thanks for trying to corner me.

24 MR. KARNEDY: Thank you.

25 MR. BARBER: So we did this yesterday so

1 I think you're familiar with the Open Meetings Act
2 and the two bases that you guys voted to go into
3 executive session on yesterday were the confidential
4 documents exception or provision, sorry, and contract
5 negotiations. I've heard some of you had questions
6 about the confidential materials. So it sounds like
7 that's a basis that you might want to go into
8 executive session for. I don't know that you also
9 have questions generally about contract negotiations.

10 MS. LUNGE: I think my question that I
11 asked related to some of the unit cost assumptions
12 could veer into contract negotiations.

13 MR. BARBER: Okay. That's helpful
14 because to go into executive session on that basis we
15 need a finding that premature public knowledge would
16 place a person at a substantial disadvantage. In
17 this case MVP. So do you feel comfortable finding
18 that or do you need testimony to establish that fact?
19 I think just generally based on common sense and your
20 experience I think you might be able to find that,
21 but that's really a question for -- to make a motion
22 and the Board.

23 MS. LUNGE: So since I'm assuming I will
24 be the maker of the motion why don't I just ask Matt
25 one question related to that which is earlier on,

1 Matt, I started to ask you a question related to
2 contract negotiations with the UVM Health Network.
3 Could you briefly describe how it might put your
4 company at a disadvantage if we were to ask you about
5 those contract negotiations in a public setting?

6 MR. KARNEDY: I'll just, Matt, caution
7 you to the extent this does get into confidential
8 information please answer the question at a high
9 level without identifying any confidential
10 information.

11 MR. LOMBARDO: Sure. Our contracts are
12 contracts -- our discount rates are -- it's kind of
13 like in a poker game. If you were showing off the
14 cards that you were holding, it would give the
15 competitor or the other opponents an advantage on
16 you, and in this case it's not something -- if Blue
17 Cross is on the phone and they hear anything about
18 that, that's something that they could leverage in
19 their contract negotiations with UVMHC and try to get
20 a leg up on us from a competitive standpoint.

21 MS. LUNGE: Thank you. I'm comfortable
22 with that answer. As the maker of the motion can I
23 ask if other Board Members need more?

24 MS. HOLMES: No.

25 MS. LUNGE: Seeing no's. Okay. So why

1 don't I go ahead and make a motion that we go into
2 executive session for the purpose of discussing
3 contract negotiations with a finding that public
4 disclosure of that information would constitute --
5 would create harm for MVP given the premature public
6 knowledge.

7 MS. USIFER: I'll second it.

8 MR. BARBER: Is there any discussion?
9 Those in favor please signify by saying aye.

10 (Board members respond aye.)

11 MR. BARBER: Any opposed?

12 (No response.)

13 MS. LUNGE: And then I need to make a
14 second motion that we also in our executive session
15 discuss the information related to confidential
16 materials provided in the filing.

17 MS. HOLMES: Second.

18 MR. BARBER: Any discussion? All those
19 in favor please signify by saying aye.

20 (Board Members respond aye.)

21 MR. BARBER: Any opposed?

22 (No response.)

23 MR. BARBER: Okay. So the Board has
24 moved to go into executive session to discuss
25 contracts and confidential exhibits and binders or

1 materials in the binders. The next step I think is
2 to determine who needs to be in that executive
3 session. So obviously the attorneys for the parties,
4 the witness, the Board Members, board staff, and
5 Lewis & Ellis witness and will be bound by
6 confidentiality in these proceedings. Anyone else we
7 need to include in the executive session, Mr. Karnedy
8 or Mr. Angoff?

9 MR. KARNEDY: I don't believe so.

10 MR. ANGOFF: No, sir.

11 MR. BARBER: Okay.

12 MR. KARNEDY: Actually I'm sorry, Mr.
13 Barber, (interruption) may be on the line as well,
14 well known by the Board. You might want to have her
15 in the confidential session as well.

16 MR. BARBER: I agree and obviously the
17 court reporter. So I don't think there's anything --
18 just obviously as we discussed yesterday I'll caution
19 everyone that really the questioning has to stick to
20 the confidential materials. If there's anything that
21 comes up that's not confidential, we can go back into
22 the open session and discuss that. So with that if
23 everyone could -- sorry. I'm getting a text
24 suggesting we might want to go to lunch and then do
25 this.

1 MS. USIFER: Maybe do this and go to
2 lunch. That way you can tell people when to come
3 back.

4 MR. BARBER: Yes I agree with you.
5 Okay. So if everyone could hang up this line, we'll
6 obviously keep the line open for the public and then
7 we'll call into the other line.

8 MS. LUNGE: Mike, do you want to say
9 what time we'll reconvene for the public?

10 MR. BARBER: Thank you for reminding me.
11 Once we vote to go out of executive session why don't
12 we take a lunch, half hour, and reconvene at -- I'm
13 guessing the executive session will last maybe until
14 12:30. So 1:30. I think we're doing okay on time.

15 MR. KARNEDY: I wonder if 1 might be a
16 better number.

17 MS. USIFER: Split the baby. 1:15.

18 MS. LUNGE: I don't care.

19 MR. BARBER: Let's take 1:15.

20 CHAIRMAN MULLIN: We have to end at
21 4:30. I think the 1:15 gives us that extra 15
22 minutes.

23 MR. BARBER: Let's hang up, go to
24 executive session, take a lunch, and come back.

25 (Executive Session begins at 11:45 a.m.)

1 MR. BARBER: Okay. It's 1:15. I'm
2 going to resume the MVP 2021 individual and small
3 group rate filing hearing. Ms. Carson, can you just
4 confirm you're here?

5 (Court reporter confirmed.)

6 MR. BARBER: Okay. So where we left off
7 we just finished testimony of MVP's sole witness and
8 now the next item is to hear from DFR. So Jesse
9 Lussier is here for DFR. Mr. Lussier, can you please
10 raise your right-hand?

11 (Mr. Lussier was duly sworn.)

12 MR. BARBER: Okay. I think as in past
13 years if you just want to start.

14 MR. LUSSIER: Okay. Can you hear me
15 okay?

16 MS. BARRETT: Excuse me, Mike. I think
17 Robin is sending a text.

18 MR. BARBER: My apologies. I forgot to
19 check to see if every board member is on. So let's
20 wait for Robin to join in.

21 MS. BARRETT: She's going to join right
22 now.

23 MR. KARNEDY: And, Hearing Officer
24 Barber, you may want to swear him in too.

25 MR. BARBER: I just did that.

1 MR. KARNEDY: Oh you did. My apologies.

2 MR. LUSSIER: I'm running on DSL right
3 now so if I cut out let me know.

4 MR. KARNEDY: I'm having a hard time
5 hearing the witness.

6 CHAIRMAN MULLIN: As am I.

7 MR. LUSSIER: How about now?

8 CHAIRMAN MULLIN: Better.

9 (Off-the-record discussion.)

10 MR. BARBER: You did answer yes to the
11 oath. I kind of lost track.

12 MR. LUSSIER: Okay. Yes I did.

13 MR. BARBER: Go ahead.

14 MR. LUSSIER: All right. Good morning
15 everyone. My name is Jesse Lussier. I work as an
16 insurance examiner for the Department of Financial
17 Regulation. I've been working for the Department for
18 about nine years now. We've reviewed the
19 Department's role regarding solvency in these
20 hearings, and so for the sake of time I'm going to
21 skip that piece unless anybody has any questions. As
22 we've also stated in the past, we're an insurance
23 regulation state based with every state being
24 responsible for those companies that are domiciled in
25 their states. MVP's primary regulator is New York.

1 We rely on New York to notify us of any solvency
2 related concerns related to MVP.

3 With respect to our filing it's similar
4 to previous filings. The one noticeable exception is
5 on the first page. The last two paragraphs deal with
6 the uncertainty surrounding the COVID-19 pandemic.
7 We've also discussed that on numerous occasions so
8 I'm going to skip over that unless anybody has any
9 specific questions, but again predominantly it's the
10 discussion revolves around the uncertainty of claims
11 and deferred claims and when they might be returning
12 back.

13 I will skip down to the final part of
14 the solvency opinion which remains consistent with
15 previous opinions that I'll just read the final
16 impact -- final paragraph. Based on the entity-wide
17 assessment above, and contingent upon GMCB and the
18 actuary's finding that the proposed rate is not
19 inadequate, DFR's opinion is that the proposed rate
20 will not have a negative impact on MVPHP's solvency.
21 I will leave it at that and open it up to questions.
22 Thank you.

23 MR. BARBER: Mr. Karnedy, do you have
24 questions for this witness?

25 MR. KARNEDY: I do. Thank you very

1 much.

2 CROSS EXAMINATION

3 BY MR. KARNEDY:

4 Q. Good afternoon, Mr. Lussier. How are you?

5 A. Good. How are you?

6 Q. Can you hear me okay?

7 A. Yes.

8 Q. So you're employed at DFR you said for the
9 past nine years? Time flies.

10 A. Correct.

11 Q. If you could please turn, you made reference
12 to it, it's exhibit 11, it's the DFR solvency letter and
13 that's dated July the 7th, correct?

14 A. Correct.

15 Q. And do you adopt this testimony on behalf of
16 the DFR -- excuse me. Do you adopt this exhibit as your
17 testimony on behalf of the DFR, correct?

18 A. Correct.

19 Q. So would you please read the summary of your
20 opinion at the top of page 2? Read that sentence
21 underneath that heading.

22 A. The proposed rate filed by MVPHP would not
23 negatively impact its solvency and the company otherwise
24 meets Vermont's financial licensing requirements for a
25 foreign insurer.

1 Q. Do you stand by that opinion today?

2 A. Yes.

3 Q. And would you please read the three bullets
4 under the MVPHP solvency opinion heading?

5 A. Sure. DFR has been in communication with
6 MVPHP's primary solvency regulator, the New York
7 Department of Financial Services, and has not learned of
8 any solvency concerns.

9 Further, MVPHP currently meets Vermont's
10 foreign insurer licensing requirements.

11 Finally, in 2019, all of MVP Holding Company's
12 operations in Vermont accounted for approximately 5.7
13 percent of its total premium written. DFR has determined
14 that MVPHP's Vermont operations pose little risk to its
15 solvency. Nonetheless, adequacy of rates and contribution
16 to surplus are necessary for all health insurers to
17 maintain strength of capital that keep pace with claims
18 trends.

19 Q. Okay. So even though Vermont is a small
20 percentage of MVP's total premium you still look at its
21 Vermont premium and this rate filing to determine
22 adequacy, correct?

23 A. Correct. Normally you would want to see
24 filings and rates kind of stand on their own.

25 Q. And this letter, exhibit 11, is based on DFR's

1 review of MVP's original filing, correct?

2 A. Correct.

3 Q. And it sought a contribution to reserves of
4 1.5 percent which the DFR found based on this exhibit to
5 be adequate, correct?

6 A. Correct.

7 Q. And you have heard testimony here today -- you
8 attended this morning, you heard the testimony from MVP?

9 A. Yes.

10 Q. And you heard that based on L&E's
11 recommendation MVP has decreased its rate proposal from
12 7.34 to 6.06. Did you hear that testimony?

13 A. Yes.

14 Q. Do you have an opinion that this decrease and
15 a revised lower rate of 6.06 will likely have the impact
16 of sustaining MVP's current level of solvency?

17 A. Yes. My understanding is that except for one
18 component, which is maybe a half a percent, the rates have
19 been agreed on by the actuaries. So as long as the
20 actuaries testified --

21 Q. I believe they are .6 apart based on the
22 testimony this morning. So that wouldn't change your
23 opinion, is that what you're saying?

24 A. Correct.

25 Q. And when it comes to solvency do you believe

1 it's a good idea to kick the can in later years, perhaps
2 have a lower contribution to reserves of one year, say one
3 percent, with the hope you could simply have a
4 contribution of 3 percent the next year to catch up? Do
5 you believe that's a good approach?

6 A. Generally speaking no.

7 Q. I believe you testified in prior years that
8 the DFR's review of solvency does not end with RBC, that
9 it reviews a large amount of data, correct?

10 A. Correct.

11 Q. And if you go to page 2 please, the first
12 paragraph under background, would you please read the
13 second and third sentences?

14 A. Whether an insurer is solvent is more complex
15 than simply determining whether at any given moment the
16 insurer has more assets than liabilities. Rather, it is
17 an intricate analysis of many factors to discern how close
18 or far away from insolvency the insurer is and in what
19 direction it will move in the future.

20 Q. So it's not just RBC from the Vermont
21 Department's perspective in measuring solvency, correct?

22 A. Correct. RBC is one component.

23 Q. And in this year's rate filing you have
24 conferred with the New York regulators and they confirm
25 their review of relevant data regarding solvency?

1 A. I communicated with them in June and once in
2 July I believe. The June e-mail was asking if there were
3 any solvency related concerns and they said no.

4 Q. Okay. Thank you, and do you recall last year
5 you and I talked a little bit, but do you recall last year
6 reviewing L&E's actual annual memorandum where they did a
7 reasonableness check of MVP's proposed 1.5 percent
8 contribution to reserves. Do you remember that?

9 A. Faintly.

10 Q. Do you remember reviewing that document and
11 reviewing the reasonableness check?

12 A. Again it was a year ago so I don't have a good
13 recollection of it.

14 Q. Okay. Well let me ask you about this year.
15 Did you review this year L&E's reasonableness check review
16 in their actuarial memorandum?

17 A. Yeah I reviewed their memorandum.

18 Q. And did you find that information on their
19 reasonableness check CTR data nationally -- did you find
20 that information supported the reasonableness and adequacy
21 of the 1.5 CTR?

22 A. Yes. Based on my understanding that 1.5
23 percent is in the 80th percentile -- or 20th percentile
24 meaning they are better than 80 percent of the other
25 companies.

1 Q. And that independent analysis by L&E lends
2 further support to the Department's opinion the rate is
3 adequate, correct?

4 A. Correct.

5 MR. KARNEDY: Thank you very much.

6 MR. LUSSIER: Thank you.

7 MR. BARBER: Mr. Angoff, do you have
8 questions for Mr. Lussier?

9 MR. ANGOFF: Just a couple.

10 CROSS EXAMINATION

11 BY MR. ANGOFF:

12 Q. Mr. Lussier, am I pronouncing your name
13 correctly?

14 A. Yeah that's pretty good.

15 Q. So I should pronounce it as if it were
16 L-E-I-S-U-R-E?

17 A. The French way is Lussier, but in Vermont we
18 just say Lussier.

19 Q. Let me just ask you a couple questions.
20 Number one, does Vermont have any requirements that would
21 require MVP or any other foreign insurer to allocate a
22 certain surplus to Vermont?

23 A. There are requirements for overall surplus and
24 there's RBC requirements. I'm not aware of a specific
25 amount allocated to a state.

1 Q. Okay, and can you -- you didn't make a
2 determination as to whether or not the rate MVP is seeking
3 is excessive, did you?

4 A. No. Our main focus is solvency. So that the
5 -- our focus is whether or not the rate is inadequate.

6 MR. ANGOFF: No more questions.

7 MR. BARBER: Do any Board Members have
8 questions for Mr. Lussier?

9 MS. LUNGE: No.

10 MR. BARBER: Anyone? Okay. Then thank
11 you.

12 MR. LUSSIER: Thank you.

13 MR. BARBER: I think the next witness is
14 Jackie Lee from Lewis & Ellis.

15 MS. LEE: Can you hear me okay?

16 CHAIRMAN MULLIN: I can. I'm just
17 trying to find you and pin you on my screen.

18 MS. LEE: I need to do the same,
19 otherwise, I'm staring just at Jay. Hi Jay.

20 MR. BARBER: I'll give you a minute to
21 do that.

22 MS. LEE: Thank you. Good. Hi
23 everyone.

24 MS. ABORJAILY: Jackie -- has she been
25 sworn in?

1 MR. BARBER: She has not.

2 JACQUELINE LEE,

3 Having been duly sworn, testified
4 as follows:

5 DIRECT EXAMINATION

6 BY MS. ABORJAILY:

7 Q. Thank you. Jackie, could you please state
8 your full name for the record?

9 A. Yes. It's Jacqueline Lee.

10 Q. And where do you work?

11 A. I work at Lewis & Ellis.

12 Q. And what's your position at Lewis & Ellis?

13 A. I am a vice president and principal of Lewis &
14 Ellis.

15 Q. And could you please turn to exhibit 15 of the
16 binder?

17 A. I am there.

18 Q. Okay. Jackie is working off an electronic
19 binder so she may get there faster than I do.

20 A. I have been practicing.

21 Q. Do you recognize exhibit 15?

22 A. Yes. This is the prefiled testimony that I
23 prepared.

24 Q. Okay and can you briefly describe the
25 information contained in this document?

1 A. Sure. It includes my background. It talks
2 about the process in which we look at filings and how we
3 keep up to date with our health care reform issues. It
4 talks about our standards of review in the State of
5 Vermont and the filing process, how here at L&E what we do
6 to review a filing when it comes in the door.

7 Q. And is the information in this document
8 accurate and correct to the best of your knowledge?

9 A. It is.

10 Q. Is there any information in this document that
11 you would like to change or clarify at this time?

12 A. There is not.

13 Q. And do you wish to adopt this prefiled
14 testimony as part of your testimony today?

15 A. I do.

16 Q. So I know you did cover this in your prefiled
17 testimony, but for those listening today could you briefly
18 explain your role in L&E's review of this filing?

19 A. Sure. This is a similar process that we use
20 with most of our filings that we do at L&E. It's a
21 process that we've used also in the State of Vermont for
22 many years now. We have a three tiered approach where we
23 have an associate actuary who is an Associate in the
24 Society of Actuaries do the primary very technical review
25 handling a lot of the direct correspondence with the

1 carrier. That this year was Traci Hughes.

2 Then we have a peer reviewer, who is myself,
3 making sure that I'm working with Traci, working with the
4 carrier on any complex issues, making sure that we're
5 accounting for all of the various aspects of the filing,
6 and then there's the third level which is the high level
7 peer review that was done by David Dillon this year, and
8 the main purpose of that role is (1) just to have another
9 set of eyes, but also since there are two carriers in this
10 market we like to ensure there's consistency in our
11 questions and in any decisions that we're making that they
12 are applied equally between the two carriers and nothing
13 is missed over the course of the peer-to-peer review
14 between the two filings.

15 Q. And how do you submit your recommendations to
16 the Board?

17 A. So each filing has a pretty strict timeline.
18 We are required on the 60th day after the filing has been
19 submitted to provide a report and recommendation to the
20 Board based on any conclusions and findings that we have
21 during the process.

22 Q. I believe that the report for this filing is
23 exhibit 10 of the binder. Could you please turn to that?

24 A. Yes certainly. I am there to your point
25 faster and probably want to check on the Board too,

1 although the most favorite exhibit today has been 10.

2 Q. We have heard some testimony today about
3 affordability. Just to be clear did L&E review this
4 filing for affordability?

5 A. We did not. A little bit slower. On page 3
6 of exhibit 10 it lists our -- the standard of the review
7 of the Board which includes multiple items that are not
8 actuarial in nature that the Board must consider. We are
9 primarily concerned with whether or not the rates are
10 actuarially sound and which the definition includes not
11 excessive, not inadequate, and not unfairly
12 discriminatory.

13 Q. Great. Thank you. So have you reviewed
14 anything -- reviewing this filing under these standards
15 did you make any recommendations to modify this proposed
16 filing?

17 A. Yes. On page 16 of our exhibit 10, our
18 report, we list out five recommendations. The first
19 recommendation is to consider updated hospital budget
20 information. We talked at length about this today and
21 yesterday during the Blue Cross testimony that this year
22 the hospital budget submissions are later than normal. So
23 to the extent that information has not been properly
24 reflected in any estimates that MVP has made or not made
25 those be reflected in the final rates.

1 The second recommendation is to reduce the
2 COVID-19 adjustment that was made by MVP.

3 The third is to move a reinsurance factor on
4 the URRT. This is a federally required document that is
5 required to be submitted and it has no impact on rates,
6 but is more of a reporting issue that we noted on our end.

7 We also recommended to update the risk
8 adjustment. Matt Lombardo testified that on Friday the
9 updated risk adjustment information was officially
10 published. Prior to that L&E had done an independent
11 calculation based on confidential data provided by both
12 MVP and Blue Cross so that the carriers would have ample
13 time to consider how this possibly would be incorporated
14 into their rates. So we are recommending that the CMS
15 values that were published on Friday be incorporated into
16 the rate, and then finally there is a very minor
17 adjustment for the actuarial value basically in pricing
18 due to benefit changes as required by a form issue that
19 was found in a different review outside of the scope of
20 this particular review.

21 Q. So if all of your recommendations were to be
22 implemented, can you explain what the ultimate projected
23 rate increase would be?

24 A. Sure. I have listed on page 16 of our report
25 that the approximated rate change is 5.5 percent, however,

1 as part of the procedure this year one of the items we
2 have always added in front of that is approximately
3 because we are not the holders of all information in order
4 to calculate the impact of each change as accurately as
5 the carrier. So this year as part of the process the
6 carriers had an opportunity to reply to our estimates and
7 MVP replied stating that our calculation was a little bit
8 off based on our COVID recommendations and they are
9 recommending the rate increase be about 5.4 percent. So
10 just a little bit different than ours.

11 Q. And do you find the 5.4 reasonable?

12 A. I do.

13 Q. And why is that?

14 A. They have more information than we do. They
15 have the numbers out to a bunch of digits. They actually
16 included an extra digit in the calculation of 5.38 percent
17 and so we rely on the carrier to ensure that they -- if we
18 make -- we try to be explicit in the recommendation we're
19 making and then make an approximate estimate on how the
20 rate would be impacted, and so we in turn ask the carrier
21 to actually make those changes and then what the
22 appropriate rate change would be.

23 Q. Thank you. If you could turn to page 17 of
24 exhibit 10.

25 A. Okay.

1 Q. There is a chart here that Mr. Lombardo was
2 looking at this morning. There seem to be maybe a little
3 confusion about the risk adjustment line, line 10 of the
4 bottom chart, and I just wanted to confirm with you
5 whether the numbers in this chart are accurate?

6 A. They are accurate.

7 Q. Okay. So any confusion over the 1.1 versus 1
8 could you --

9 A. Yeah I think the confusion lies in two places.
10 One, a direct subtraction would be 1.1 in the situation,
11 but if you kind of high tail back one page to page 16, we
12 say the rates decrease by approximately 1.2. I think that
13 is more accurate because it's multiplicative, and the
14 final confusion is that number is very similar to the
15 original number. So I think that's where the confusion
16 was lying in just trying to quickly estimate, but we stand
17 by the new recommendation .1 and the rates decrease by
18 1.2, and based on the prefiled calculation by Matt and his
19 team at MVP they agreed with that. So I think we were
20 good.

21 Q. Thank you. You also stated in your prefiled
22 testimony that you reviewed several ACA filings in a year
23 and I was wondering if you could give us a brief summary
24 of what other carriers are assuming regarding the impact
25 of COVID-19 for 2021?

1 A. Sure. We have seen a wide variety of impacts
2 that are also changing by state as the virus has impacted
3 states very differently. So that's one thing I want to
4 point out is that we did do a lot of review during our
5 actual analysis to really incorporate Vermont, but as to
6 other filings I would say the majority of the carriers are
7 assuming between 0 and 3 percent. We have had some
8 outliers that have included some that are higher than 5
9 percent, but it's really been -- that's really been
10 primarily focused on one parent organization and all its
11 affiliates that are filing that relatively high outlier.
12 So I would say that's what we have seen to date.

13 Q. And turning to this filing, what was L&E's
14 recommendation in the report regarding MVP's assumption
15 for the impact of COVID-19?

16 A. We recommended two changes. I'm flipping back
17 to -- they start on really page 9 of our exhibit and then
18 go forward into all the way through page 11, but the
19 primary recommendations we make are regarding the pent-up
20 demand. We disagree with the assumption that there will
21 be pent-up demand in 2021. Therefore, we have recommended
22 that this be reduced to 0 percent. We have also made a
23 change to some of the assumptions made regarding the
24 vaccinations and the costs that MVP would incur during
25 2021. We felt that the assumption of 80 percent of the

1 MVP's population would be vaccinated within 2021, we
2 thought that 80 percent was not adequately supported.
3 Therefore, we recommended a reduction to that as well.
4 The combined reduction of those two is where our
5 calculation is different. I believe it increases rates
6 0.6 percent versus what we have quoted in our report as
7 0.5 percent. So I think we were -- that's where we were
8 slightly off.

9 Q. So since your report was issued to the Board
10 MVP has submitted some more information about its COVID-19
11 assumptions in their prefiled testimony and I was
12 wondering if you had a chance to review those post memo
13 submissions?

14 A. I have. It was submitted pretty late on
15 Friday, but I took some time over the weekend to look
16 through all the extra exhibits and most of which were
17 included and stipulated earlier today.

18 Q. Including Mr. Lombardo's prefiled testimony?

19 A. Correct. Yes.

20 Q. And were you listening to all of the testimony
21 today so far?

22 A. Yes I was.

23 Q. Having reviewed those submissions and having
24 heard Mr. Lombardo's testimony is there anything that you
25 wish to amend or add to L&E's recommendation around the

1 COVID-19 impacts?

2 A. No I do not wish to change any of our
3 recommendations.

4 Q. So turning briefly to contributions to
5 reserves, which has been a discussion that's gone on a lot
6 today, in the analysis for your memo do you review for
7 solvency and contribution to reserve?

8 A. Yes. We just heard from DFR. They do the
9 primary review and really dive in deep about all things
10 regarding solvency for each of the carriers. However,
11 since it is an assumption within the rate development we
12 do look at it and our report goes into some of the reviews
13 that we perform to make sure that the assumptions are not
14 out of line with what we're seeing in the industry and
15 don't threaten solvency such that it makes the rate
16 inadequate.

17 Q. And so did you hear in Mr. Lombardo's
18 testimony that the carrier is asking for a 1.5 percent
19 contribution to reserve for this filing?

20 A. Yes. That's correct. I heard that this
21 morning.

22 Q. And do you find that to be reasonable and
23 appropriate?

24 A. Oh yes I do.

25 Q. So after reading the carrier's prefiled

1 testimony and all of the materials that have been
2 submitted so far in the filing and then listening to
3 today's testimony is there anything you wish to add or
4 change to your five recommendations we've covered so far?

5 A. No I do not.

6 Q. And if your recommendations as of today are
7 implemented, do you believe that rates would be excessive?

8 A. No.

9 Q. Do you believe they would be inadequate?

10 A. No.

11 Q. And do you believe they would be unfairly
12 discriminatory?

13 A. No.

14 MS. ABORJAILY: I have no further
15 questions at this time.

16 MR. BARBER: Thank you. Mr. Karnedy, do
17 you have questions for Ms. Lee?

18 CHAIRMAN MULLIN: Gary, you're on mute.

19 MR. KARNEDY: Well that would have been
20 a great way to ask questions.

21 MS. LEE: I thought so, although I was
22 panicking on my end that my headphones went out or
23 something.

24 CROSS EXAMINATION

25 BY MR. KARNEDY:

1 Q. If you would go to exhibit 10 please and page
2 17 of that exhibit.

3 A. Yes.

4 Q. Are you there?

5 A. I am.

6 Q. Okay. So there's the table at the bottom that
7 adds 16 rating components, correct?

8 A. That's correct.

9 Q. And based on your report and the subsequent
10 testimony filings by MVP and what you heard today it
11 appears MVP and L&E agree on 15 of these 16 rating
12 components that have been identified, correct?

13 A. That's correct.

14 Q. We only disagree on item number 4 which
15 relates to the COVID, correct?

16 A. That's correct.

17 Q. And this July 7th letter is a recommendation
18 by L&E to the Board on MVP's proposed rate increase,
19 correct?

20 A. Yes. That is the purpose of our report.

21 Q. And you're recommending that the Board approve
22 MVP's rate increase proposed today with the exception of
23 the COVID difference you have with MVP, correct?

24 A. Sorry. Say that again please.

25 Q. Sure. Let me do it slowly.

1 A. Yes. I'm sorry.

2 Q. And L&E is recommending that the Board approve
3 MVP's rate increase proposed today with the exception of
4 the COVID difference of opinion that you have with MVP,
5 correct?

6 A. I'm recommending that they incorporate all of
7 the changes we have recommended. Does that answer your
8 question?

9 Q. I think so.

10 A. Okay.

11 Q. The one thing I think that we'll talk more,
12 but the one thing we disagree on is COVID. Everything
13 else basically is agreed to, correct?

14 A. That is correct. Yes.

15 Q. And if you go to page 3 please of exhibit 10,
16 page 3, let me know when you're there.

17 A. I am there.

18 Q. And do you see this standard of review and
19 there's a paragraph below that cites the statute and the
20 rule and lists all the various criteria. Do you see that?

21 A. Yes I do.

22 Q. And it's my understanding that if you apply
23 this standard of review to your opinion, it's primarily
24 focusing on those factors that are actuarial in nature?

25 A. That's correct.

1 Q. And would you agree with me that the statutory
2 items listed in the standard of review are interrelated
3 and the Board's decision on each of them all ultimately
4 impact the total rate increase amount?

5 A. Yes. That's fair.

6 Q. So said another way if the Board review
7 reduced the proposed rate by say five percent on the
8 statutory ground that it was excessive, that reduction
9 could impact the question of whether the proposed rate is
10 statutorily inadequate, correct?

11 A. That's correct, yes.

12 Q. And when you consider, for example, excessive
13 or inadequate you're considering whether the final total
14 rate increase is excessive or inadequate, correct?

15 A. That's correct. Yes.

16 Q. So if the Board reduced the final rate by say
17 5 percent based on a non-actuarial statutory criteria such
18 as affordability, that could make the proposed rate that
19 you had identified adequate no longer adequate, correct?

20 A. Yes that's correct.

21 Q. So these statutory factors are all
22 interrelated?

23 A. They are.

24 Q. And at the rate -- if that 5 percent reduction
25 became inadequate, as an actuary you could not support

1 that change as actuarially appropriate, correct?

2 A. That's correct.

3 Q. So let's talk about what we have agreement on
4 and I think you went through it. I just want to confirm
5 it and that's the agreement on math. If you go to your
6 prefiled testimony exhibit 15, and go to page 6 line 21 --
7 bear with me I'm trying to shorten this a little bit
8 because you said a lot of things we already talked about.

9 A. I'm there.

10 Q. Okay. Great. So the last three questions
11 relate to your proposed rate of 5.5 and the reduction to
12 5.38, correct?

13 A. I believe that's on page 7.

14 Q. Yes. 6 going into 7. Pardon me.

15 A. Oh I'm sorry. Yes I see that now.

16 Q. I'm just trying to be general now because I
17 think you testified to a lot of this and MVP is
18 recommending a 6.06 rate increase, correct?

19 A. Yes. That's correct based on today's
20 testimony.

21 Q. Right, and L&E is recommending a rate increase
22 of 5.38 this year, correct?

23 A. I prefer 5.4, but sure. Don't need to be too
24 precise.

25 Q. That's fine. So we have a delta of around .6

1 I think you testified to; is that right?

2 A. Yes.

3 Q. And that relates to this COVID disagreement
4 we're about to talk about, right?

5 A. That's correct.

6 Q. Okay, and before we get there I want to talk
7 about something else that we appear to have agreement on
8 and that's administrative cost. Would you go to exhibit
9 10 page 14 please and let me know when you're there? You
10 see item 13 which is changes in administrative cost?

11 A. Yes I'm there and I see it.

12 Q. So you reference that MVPHP is proposing a
13 \$43.75 PMPM, correct?

14 A. Yes.

15 Q. And would you go -- turn the page and would
16 you please read the first full paragraph that starts with
17 the administrative costs?

18 A. The administrative costs assumed in the 2021
19 filing are consistent with MVP's recent individual and
20 small group administrative costs as reported in the last
21 three years of the company's supplemental health care
22 exhibits. The company's expenses have decreased since
23 2013 when they were \$46.57 PMPM

24 Q. Okay. So that number compares to the \$43.75
25 for this year's rate filing, correct?

1 A. Yes it does.

2 Q. And you stand by what you just read in that
3 paragraph?

4 A. I do. Yes.

5 Q. Would you please read the second full
6 paragraph? Read that.

7 A. L&E notes that while enrollment in Vermont has
8 been increasing MVP's overall enrollment, including
9 enrollment in New York, decreased by 4 percent in 2018,
10 decreased by 4 percent in 2019, and decreased by another 1
11 percent as of March 2020. Many of the administrative
12 functions are shared between Vermont and the much larger
13 New York block of members. Therefore, the increased
14 membership in Vermont does not directly result in a
15 decrease in administrative costs. Considering reduced
16 administrative costs over recent years L&E considers the
17 assumed 2021 administrative cost to be reasonable and
18 appropriate.

19 Q. And you stand by what you said in that
20 paragraph?

21 A. I do.

22 Q. Okay. Next I want to turn to hospital
23 budgets. If you go to page 7 of exhibit 10, page 7, so as
24 I understand it the first part of this under medical unit
25 cost trend is you summarizing MVP's assumptions about

1 hospital budgets. Is that fair?

2 A. That's correct. Yes.

3 Q. And would you please read in the second
4 paragraph the first two sentences?

5 A. Since the 2021 hospital budget review is not
6 yet finalized MVP has assumed that hospital increases will
7 match the 2020 increases with a few exceptions by
8 facility. These expected assumptions for the hospital
9 budget increases are based on information from MVP's
10 contracting department. The overall increase for hospital
11 based costs differs from the Board's Vermont-wide
12 projections for several reasons. Would you like me to
13 read them?

14 Q. No thank you, but generally you go on to
15 summarize MVP's hospital costing, correct?

16 A. Yes we do.

17 Q. And then would you read the last sentence
18 below the box to the left? It starts L&E.

19 A. L&E believes the assumed unit cost trends are
20 reasonable and appropriate.

21 Q. So MVP's assumptions on hospital budgets are
22 reasonable and appropriate, correct?

23 A. Yes.

24 Q. And then if you go to page 8 please, and
25 there's a paragraph with a heading on it total allowed

1 medical trend, do you see that?

2 A. I do.

3 Q. Would you read the sentence underneath there
4 please?

5 A. Based on the information available L&E
6 considers the total allowed medical trend of 7.0 percent
7 to be reasonable and appropriate.

8 Q. Do you stand by -- you stand by that, correct?

9 A. I do.

10 Q. So you have agreement with MVP on that,
11 correct?

12 A. Yes we do.

13 Q. And then if you go down underneath that
14 heading, the next paragraph starts updated. Would you
15 please read the last two sentences of that paragraph?

16 A. Due to the disruptions from COVID-19 it
17 appears likely that the submitted hospital budget requests
18 will be higher than last year. If this is the case, it
19 may mean that a higher premium increase is necessary.

20 Q. So L&E is indicating that the COVID-19
21 disruptions may require a rate increase higher than what's
22 being requested, correct?

23 A. For the hospital budget submissions and unit
24 costs, yes.

25 Q. My question goes to the overall rates. Aren't

1 you saying that it may mean that there's higher premium
2 increases necessary, is that what you're saying?

3 A. Yes. We're saying that if the hospital
4 budgets come in, that would increase the unit cost which
5 would therefore increase the total rate.

6 Q. So -- thank you. That's very helpful. So the
7 rate could be, if that happened as you described, higher
8 than your 5.4, correct?

9 A. 5.4. Yes. It could be higher. Yes.

10 Q. And it may be higher than MVP's 6.06, correct?

11 A. That's correct.

12 Q. Next I want to confirm agreement on CTR
13 testing clause. If we go to page 15 of exhibit 10, and I
14 believe it's item 15, do you see the heading it says
15 changes in contribution to reserves. Do you see that?

16 A. Yes I do.

17 Q. MVP is looking for or requesting a 1.5 CTR
18 this year, correct?

19 A. That's correct.

20 Q. And would you please read the first sentence
21 of the last paragraph on this page?

22 A. The L&E believes?

23 Q. Yes please.

24 A. L&E believes the CTR and bad debt assumptions
25 are reasonable and appropriate. Additionally, L&E

1 recommends that any solvency analysis performed by the
2 Department of Financial Regulation be considered.

3 Q. So we have agreement on the CTR between L&E
4 and MVP this year, correct?

5 A. Yes we do.

6 Q. You also make a reference to bad debt -- as
7 the actuary included MVP's bad debt assumptions are also
8 appropriate and reasonable, correct?

9 A. Correct.

10 Q. I wonder if you could, if you go back up again
11 under number 15, the second paragraph talks about a
12 reasonableness check. Do you see that?

13 A. Yes.

14 Q. Would you please tell the Board a
15 reasonableness check as it relates to 2018, 2019, and
16 2020?

17 A. Sure. The Center for Consumer Information and
18 Insurance Oversight, also known as CIIIO, has public use
19 files with a lot of various information in it. We
20 reviewed over the last several years the information for
21 the QHP filings that comes from that. So in 2020 we
22 reviewed 783 QHP filings and what we found was -- this is
23 for 2020 -- the average submitted CTR was 3.45 percent and
24 a median of 3.24 percent. Based on this MVP's request of
25 a CTR of 1.5 would rank 630 out of those 783 filings. We

1 had similar findings for 2019 and 2018 that you can see in
2 those reports, and you can see the details in those
3 reports if you pull them up, but here we've outlined that
4 in all instances MVP's request of 1.5 percent was in the
5 lower bound of all filings submitted regarding QHP.

6 Q. Thank you, and as an actuary you want to be
7 conservative considering CTR so you set aside sufficient
8 money. Is that fair?

9 A. That was fair.

10 Q. As an actuary you don't want to set aside too
11 much or too little, correct?

12 A. That's correct. You're trying to strike the
13 best balance.

14 Q. You don't want to be an outlier on a bell
15 curve in contribution to reserve, right?

16 A. No you don't.

17 Q. So you're in Texas, correct?

18 A. I am.

19 Q. And I think I know that because on direct exam
20 you said -- what was the phrase you said -- high tail it
21 back?

22 A. I did say that. I thought that sounded a
23 little too southern. Sorry.

24 Q. Don't be sorry. It's great. Okay. Now in
25 Texas we've seen an uptick -- we've seen an uptick in

1 people contracting coronavirus?

2 A. Unfortunately we have.

3 Q. And an uptick of the testing in Texas as a
4 result?

5 A. Yes.

6 Q. And Vermont has been hit less hard by COVID
7 virus, correct?

8 A. That's true, yes.

9 Q. But if we see a surge like Texas we would need
10 more testing, correct?

11 A. That's true. Yes.

12 Q. Do you agree with me that MVP did not include
13 testing costs in its rate increase report, correct?

14 A. I agree that's not included.

15 Q. But if in fact testing does increase, that
16 would be an added cost that was not factored into the rate
17 increase request. Am I right?

18 A. If it's covered by MVP, yes.

19 Q. All the more reason to support a CTR of 1.5
20 percent rather than some lesser amount. Do you agree?

21 A. That's correct. Yes.

22 Q. Would you agree with me that Vermont has the
23 best doctors in the country?

24 A. No, but I'm sure they are wonderful. I'm sure
25 they are great.

1 Q. Would you agree with me that Vermont has
2 terrific caring doctors?

3 A. I would agree that there are terrific doctors
4 in Vermont.

5 Q. Thank you, and you would agree with me that --
6 I guess I won't ask you about the best hospital in the
7 country, but would you agree with me Vermont has a
8 terrific caring hospital, health care climate?

9 A. There are terrific hospitals in Vermont.

10 Q. And the people who work there, provide health
11 care, are caring people, correct?

12 A. I do not know the people who work at those
13 hospitals.

14 MS. ABORJAILY: I don't believe she has
15 personal knowledge of the doctors in the State of
16 Vermont.

17 BY MR. KARNEDY:

18 Q. You would agree with me health care providers
19 put their patients' care first and foremost?

20 A. I don't know the answer to that.

21 MS. ABORJAILY: Objection. Same basis.

22 MR. BARBER: You need to establish she
23 has some knowledge of the things you're asking her
24 about.

25 BY MR. KARNEDY:

1 Q. You would agree with me generally based on
2 your experience that health care providers put their
3 patients' care first and foremost in your mind?

4 A. Most of the time I would like to hope so.

5 Q. You would agree with me if a doctor has
6 identified a need for a surgical procedure, they are not
7 going to make a decision about when that procedure will be
8 performed based on how much money they are making at a
9 given moment?

10 MS. ABORJAILY: Objection. Asking the
11 witness to speculate what doctors would do or not do.

12 MR. BARBER: Sustained.

13 BY MR. KARNEDY:

14 Q. Would you agree --

15 MR. BARBER: Ask questions Ms. Lee has
16 knowledge of.

17 BY MR. KARNEDY:

18 Q. Would you agree with me that medical doctors
19 aren't making medical decisions on timing of procedures
20 based on the rise or fall of their stockholders?

21 MS. ABORJAILY: Objection. Asking the
22 witness to speculate.

23 MR. BARBER: Sustained.

24 MR. KARNEDY: The witness in evidence --
25 what's in evidence has indicated that doctors are

1 considering receipt of government assistance and
2 impacts her opinions. I think these are fair
3 questions.

4 MR. BARBER: You can ask her about the
5 basis for her opinion surely, but I think you were
6 getting into speculation.

7 BY MR. KARNEDY:

8 Q. Well let me ask another question then. Would
9 you agree with me that a medical doctor or hospital making
10 a decision on timing of a medical procedure isn't basing
11 that decision on whether they are receiving government
12 financial assistance?

13 A. I don't know.

14 Q. So if you would go to exhibit 10 page 10.

15 A. I'm there.

16 Q. I'm going to read you the first bullet.

17 Providers have had an opportunity to receive financial
18 assistance from the government to relieve financial
19 hardship which reduces the financial incentives to run at
20 greater than one hundred percent capacity in the future.
21 Did I read that correctly?

22 A. You did.

23 Q. That is an incorrect statement. You don't
24 know, do you?

25 A. That was in direct response to an

1 interrogatory which is found in exhibit 2A that we asked
2 MVP. It's on exhibit 2A page 3 where there is a list of
3 an entire list of reasons how the doctors are financially
4 incentivized. So we are saying that there were other
5 factors at play. That's what we were saying.

6 Q. So this isn't based on your knowledge or your
7 data, that's what you're saying, something that MVP told
8 you, is that it?

9 A. It is based on an argument that MVP made about
10 the reasons for operating at 110 percent of capacity.

11 Q. And you heard Mr. Lombardo's testimony this
12 morning about health care providers wanting to provide
13 care as soon as they can to their patients. You heard
14 that, right?

15 A. I heard that.

16 Q. And you disagree with that?

17 A. I'm not sure that that was placed -- that was
18 not a response indirect when we asked that question
19 directly earlier as I stated in the first question, but I
20 don't overall disagree with it, but I -- that was not what
21 was the original argument that was posed and this was our
22 response to that argument.

23 Q. I'm just asking whether you heard him, you
24 said you did, in testimony today and whether you generally
25 agree with the notion that health care providers want to

1 get people treatment as soon as they can?

2 A. Generally yes.

3 Q. Would you agree with me that individuals are
4 not charged for a delayed elective surgery but when the
5 surgery actually occurs, correct?

6 A. That's correct. Yes.

7 Q. If your surgery is scheduled for 2020 but
8 doesn't occur for whatever reason until say March of 2021,
9 you don't get billed for it until after the surgery,
10 right?

11 A. You're billed generally based on date of
12 service with a few exceptions.

13 Q. They are providing service and then you get
14 paid for it, right?

15 A. That's correct. Yes.

16 Q. And you would also agree with me that
17 formulating a total allowed medical trend for 2021 MVP
18 should be considering all the claims from surgeries that
19 will occur in 2021, correct?

20 A. That's correct. Yes.

21 Q. Each year we do these rate filings the
22 question for the actuaries is what will happen in that
23 rate year. In this case 2021, correct?

24 A. Correct. 2021.

25 Q. It's a question about that 12-month period,

1 isn't it?

2 A. Yes that's correct.

3 Q. Okay. So now let's talk about the flu vaccine
4 issue which I think we have a friendly dispute on.

5 A. It's the COVID vaccine.

6 Q. Well we'll get there. Go to exhibit 10
7 please, go to page 11, and I want to go to the third full
8 paragraph. Let me know when you're there.

9 A. L&E recommends.

10 Q. I'm going to read that first sentence to you.
11 L&E recommends a vaccination rate assumption of 55 percent
12 consistent with flu vaccination rates. Did I read that
13 correctly?

14 A. You did, yes.

15 Q. And according to the second sentence the
16 difference of opinion on this vaccine rate amounts to .3
17 percent, correct?

18 A. Yes that's correct.

19 Q. Where we have a roughly -- I know there's
20 rounding, but where we have a .6 dispute this year half of
21 it is on this vaccine issue. Is that fair?

22 A. Yes that's correct. Yes that's a fair
23 statement.

24 Q. And where the first sentence that I read says
25 consistent with flu vaccination rates, that's the 55

1 percent rate for flu vaccinations, right, that comes from
2 the CDC?

3 A. Checking. Yes the CDC.

4 Q. Great. So your 55 percent is based on
5 aligning with the flu vaccine, correct?

6 A. Yes.

7 Q. You didn't reduce it below the 55 percent flu
8 vaccine rate because of assumptions you made on any
9 restrictions on supply once the COVID vaccine is
10 available, correct?

11 A. No that was in part of the reason. We didn't
12 think that the 80 percent had enough -- we thought it was
13 too high. So one of the reasons we came up with the flu
14 vaccine was because it was -- you know it's one of the
15 wide vaccines that you get frequently each year and we
16 were worried about supply issues being one of them. We
17 were also worried about the quoting of early 2021, there
18 was a lot of considerations that we made, but we ended up
19 landing on the flu vaccine.

20 Q. Okay. You say that, but the number 55 percent
21 aligns with the flu vaccine, correct?

22 A. It does. It does, yes.

23 Q. You didn't go below it? You didn't go to 54
24 percent, did you, or 53 percent, correct?

25 A. No.

1 Q. You just aligned with the flu vaccination rate
2 to come up with 55 percent, didn't you?

3 A. Yes.

4 Q. Now you disagreed with MVP's assumption that
5 80 percent of the covered population will receive the
6 vaccination in 2021, correct?

7 A. Correct.

8 Q. You believe it will be the 55 percent you just
9 talked about?

10 A. In 2021, yes.

11 Q. Yes, and that relates to the flu vaccine,
12 right?

13 A. Still does.

14 Q. Okay. I appreciate your patience.

15 A. Yeah.

16 Q. Have you seen the news each day how many
17 people are contracting the coronavirus in Texas, in the
18 country, in the world?

19 A. I'm most familiar with Texas and Vermont these
20 days, but generally speaking yes. Less so the world.

21 Q. It's scary, isn't it?

22 A. Uh-huh.

23 Q. I'm sorry. I didn't hear your response.

24 A. I'm sorry. Yes.

25 Q. Have you seen the news each day how many

1 people are dying from the COVID virus in Texas, in
2 Vermont, in the country, and in the world?

3 A. Roughly, yes.

4 Q. That's scary too, isn't it?

5 A. It's not -- it's not good. No. I wouldn't
6 define it as scary, but --

7 Q. People are dying, correct?

8 A. Correct.

9 Q. Have you seen in the news each day how many
10 people are contracting the flu in Texas, in Vermont, in
11 the country, and in the world?

12 A. Since it's not flu season, no.

13 Q. Have you seen in the past year information in
14 the news about people contracting the flu in Texas, in
15 Vermont, in the country, or in the world?

16 A. In Vermont, in Texas, yes I do see it on the
17 news.

18 Q. Have you seen the news each day how many
19 people are dying from the flu in Texas or Vermont or in
20 the country or the world?

21 A. I have researched that, yes, but I wouldn't
22 say I see it in the news. I'm not sure what you're trying
23 to get at, but I am aware a lot of people do die from the
24 flu.

25 Q. That wasn't my question. It was whether you

1 saw it in the news?

2 A. In the news, no.

3 Q. Are you working -- excuse me. When you talk
4 to co-workers and family about daily events in the past
5 few months are you talking about the COVID pandemic or the
6 flu?

7 A. COVID.

8 Q. Are you working remotely?

9 A. Yes. Do you like my office?

10 Q. Lovely. I like the tractor behind you there.

11 A. You can see it? It's my husband's.

12 Q. Are millions of Americans, including
13 Vermonters, working remotely?

14 A. A lot of people are working from home. I
15 don't know the exact quantity.

16 Q. Are we working remotely because of the COVID
17 pandemic or because of the flu?

18 A. COVID pandemic.

19 Q. And is the concern and why you're testifying
20 remotely today the flu or the COVID pandemic?

21 A. The COVID pandemic.

22 Q. Wouldn't you agree with me it's a general
23 proposition that Vermonters are more scared about
24 contracting COVID than they are about contracting the flu?

25 A. That's probably fair.

1 Q. And wouldn't you agree with me that Vermonters
2 are generally more scared about dying from COVID than they
3 are about dying from the flu?

4 A. Yes.

5 Q. If you would please go to exhibit 15, which is
6 your prefiled, and go to page 3, I'm going to ask you a
7 few questions and I would just reference see how there's
8 the little numbered lines to the left?

9 A. Yes.

10 Q. So first it's generally you, like David
11 Dillon, are a principal of L&E, correct?

12 A. Yes.

13 Q. And you're a Fellow of the Society of
14 Actuaries?

15 A. Yes that's correct.

16 Q. And he is too, right?

17 A. He is too.

18 Q. And I'm referencing line 12, but I'll just ask
19 you you're the Chair of the SOA Health Section?

20 A. I am.

21 Q. Looking at line 13 you're involved in SOA
22 COVID-19 education and distribution of information,
23 correct?

24 A. That's correct.

25 Q. And you hold David Dillon in high regard?

1 A. I do.

2 Q. And he signed off back on the actuarial
3 memorandum which is exhibit 10. It's his signature on the
4 back of it, right, along with yours, correct?

5 A. Yes. That's correct. Yes.

6 Q. In all the years you've worked with him has he
7 ever held an opinion that you felt was not actuarially
8 sound, reasonable, and appropriate?

9 A. No.

10 Q. If he authors something, you would agree with
11 his opinions being actuarially reasonable, correct?

12 A. I would agree with that.

13 Q. And he was recently one of the authors of the
14 recently released June 2020 -- it was released in June of
15 2020. It's called the 2021 Health Care Cost Model. I
16 think you make reference to that here in your prefiled,
17 correct?

18 A. He was not an author. He was on the project
19 oversight group which means he was a peer reviewer. The
20 authors I believe were Wakely and Novaris.

21 Q. His name is on the document, correct?

22 A. Yes.

23 Q. Okay. So let's go -- let's go to that exhibit
24 then. It's exhibit F. Do you have that handy, exhibit F?

25 A. I do.

1 Q. All right, and have you seen this document
2 before?

3 A. Yes.

4 Q. This is the 2021 Health Care Cost Model that I
5 just referenced, right?

6 A. That's correct.

7 Q. And if you go to page 2 of this document --

8 A. Sorry. Page 2?

9 Q. Page 2. At the very top is Dave Dillon's
10 name. They spelled it wrong apparently. Let me know if
11 you see that.

12 A. He goes by Dave Dillion sometimes.

13 Q. All right.

14 A. I don't know. You started echoing a bit.

15 Q. Okay. Let's go please to page 110 of the
16 document please, and do you see where it says the main
17 effects of the health outbreak on health care services?
18 Section 3?

19 A. Yes.

20 Q. Would you please -- in the second full
21 paragraph it starts thus. I would ask you to read the
22 second sentence, thus in the coming months. Read that
23 sentence.

24 A. In the coming months, however, as social
25 distancing policies are relaxed utilization of elective

1 services is likely to increase and may temporarily peak
2 above normal historical levels as providers and patients
3 reschedule some of their services that were previously
4 postponed.

5 Q. So it says utilization may peak above the
6 historical levels for a period, right?

7 A. Yes.

8 Q. Would you go to 114 please? Let me know when
9 you're there.

10 A. I am there.

11 Q. Do you see there's two paragraphs below that
12 table? Would you read the first sentence that starts
13 across in the first paragraph?

14 A. Across -- I'm sorry. I'm reading the first
15 sentence or the first two sentences?

16 Q. Just the first sentence.

17 A. Across all scenarios relative to a baseline in
18 which the outbreak never occurred the projected health
19 care costs decline in 2020 relative to the baseline but
20 rebound in 2021. Table five.

21 Q. Thank you. So the SOA in this report is
22 indicating -- or this model is indicating that they are
23 expecting a decline in 2020 followed by a rebound in 2021,
24 correct?

25 A. They are with the one exception of successful

1 suppression which does not have rebound in 2021. That
2 would be number one also the blue line.

3 Q. Did I read the sentence correctly?

4 A. You did read the sentence correctly.

5 Q. And you read the sentence?

6 A. Yes I read the sentence correctly.

7 MR. KARNEDY: That's all the questions I
8 have. Thank you very much.

9 MS. LEE: Thank you.

10 MR. BARBER: Do any Board Members have
11 questions for Jackie? Sorry. Mr. Angoff, your turn.

12 MR. ANGOFF: Thank you, Mr. Hearing
13 Officer.

14 CROSS EXAMINATION

15 BY MR. ANGOFF:

16 Q. Just a few, Ms. Lee. Good afternoon.

17 A. Good afternoon.

18 Q. Were you here this morning? Did you hear Mr.
19 Lombardo testify?

20 A. I did. Yes.

21 Q. Okay, and do you remember that he testified
22 that he had a hope that there would be a vaccine available
23 in 2021. I assume you share that hope?

24 MR. KARNEDY: Objection. He didn't say
25 just hope. I think that's a mischaracterization of

1 his testimony.

2 MR. BARBER: Could you restate the
3 question?

4 BY MR. ANGOFF:

5 Q. Yeah. I assume you heard Mr. Lombardo testify
6 this morning and I assume that you heard him testify that
7 he had a hope that there would be a vaccine available in
8 2021?

9 MR. KARNEDY: Same objection.

10 MR. BARBER: Overruled. What was your
11 understanding of the witness's testimony, Ms. Lee?

12 MS. LEE: Me? You said me, right?

13 MR. BARBER: Yes.

14 MS. LEE: I understood they were
15 assuming that Mr. Lombardo was assuming that there
16 would be a vaccine in early 2020 to the best of his
17 knowledge and research.

18 BY MR. ANGOFF:

19 Q. Early 2021, correct?

20 A. Early 2021. Sorry. Yes.

21 Q. Okay and he testified that MVP was including a
22 component in its rate filing that would charge people
23 based on the assumption that a vaccine would be available
24 in 2021, correct?

25 A. Yes.

1 Q. Okay, and do you accept that assumption?

2 A. That there will be a vaccine in 2021?

3 Q. Yes.

4 A. I think there will be a vaccine in 2021.

5 Q. You do. What do you base that assumption on?

6 A. Similar research that Mr. Lombardo included in
7 his initial filing as well as our own, and I also -- you
8 know, I know he did say we all hope it comes. I do hope
9 it comes. I just am less optimistic early, though I hope
10 I'm wrong.

11 Q. Your less optimistic. I'm sorry. You're less
12 optimistic than what?

13 A. About the early 2021.

14 Q. Miss Lee, in the course of your review of this
15 filing did you ask MVP to submit paid claims data for the
16 year of 2020 by month to the extent that it was available?

17 A. We did. We have a couple of places where we
18 asked for that. Would you like me to point them out in
19 the binder?

20 Q. Yes I certainly would.

21 A. So in the binder if you go to exhibit 2A which
22 is confidential -- it is a confidential exhibit though I
23 believe this is a safe area -- I'm sorry. Maybe it was 2.
24 Let me double-check. Okay. So exhibit 2 page 8 and 9 you
25 can see that we asked about emergency room department and

1 they talk about the average claims March '20, March 2021.

2 I did find another example. Let me find it
3 real quick where I had monthly data a little bit closer to
4 what you're talking about, however, we asked for it
5 through March and they were only able to provide it --
6 okay. Oh goodness. I believe I am in exhibit -- no
7 that's not the right one, but that is the -- I do have --
8 they did provide it through February. It was normalized
9 to help us work with the utilization trend and I thought I
10 had written it down correctly, but I apparently didn't and
11 I apologize for that. Okay. Here we go. No. That's not
12 it either. I also have listed exhibit 5 pages 3 and 4.
13 So we did ask for it. They were only able to provide it
14 on a monthly basis for up to February, though, because
15 they said they could not provide March.

16 Q. So they only provided paid claims data for
17 January and February of 2020?

18 A. That's correct.

19 Q. And that paid claims data all occurred before
20 the COVID virus pandemic hit, correct?

21 A. Yes that's correct.

22 Q. Okay, and you would expect that claims data
23 would be substantially lower in the months of March,
24 April, and May, correct?

25 A. Yes, and that exhibit 2 page 8 and the 9 kind

1 of shows that. It's more from an emergency room
2 department, but that's again when, you know, to kind of
3 understand we asked that question and then exhibit 5 also
4 dives into kind of what they were seeing from a
5 standpoint, and then Mr. Lombardo in his testimony this
6 morning also talked about the lowered numbers in I believe
7 March, April, and May.

8 Q. But you didn't ask them, did you, for lowered
9 paid claims data by month after February?

10 A. They said they couldn't provide it after
11 February. We did ask for March at the time and they said
12 they couldn't provide it. So sort of we did, but they
13 couldn't provide it.

14 Q. Okay and -- fine. Could you turn to exhibit
15 10 page 8 please?

16 A. Okay.

17 Q. And do you see the paragraph on top is talking
18 about utilization trend, correct?

19 A. Yes.

20 Q. Okay, and could you please read the first
21 sentence?

22 A. This produced?

23 Q. Please.

24 A. This produced a wide -- a very wide range of
25 forecasted utilization trends with a tenth percentile of

1 minus 7.6 percent, a mean trend of 0, and a 90th
2 percentile of 4.9 percent.

3 Q. Okay. So based on that, based on this wide
4 range of utilization trends, do you believe that a trend
5 that's selecting a trend in the middle of that -- those
6 extremes either the mean or the medium would be a
7 reasonable selection?

8 A. No.

9 Q. Why not?

10 A. Well, number one, that was based on MVP's
11 analysis. We just had access to it. We then did some
12 other analyses which are the following paragraph that we
13 outlined to talk about what we looked at, and again MVP
14 had a slightly different approach than we did. So we came
15 up with several different results, and so therefore no I
16 would not agree with that top sentence which is why we
17 didn't recommend they reduce their utilization trends to
18 say zero.

19 Q. The trend that they recommended and which you
20 agreed with was 1 percent, correct?

21 A. That's correct.

22 Q. And that was their trend that they used --
23 that was the trend that was approved in the last filing,
24 correct?

25 A. That's correct.

1 Q. And that 1 percent was not what they
2 originally filed, but rather what you recommended,
3 correct?

4 A. Will you clarify which filing we're talking
5 about?

6 Q. Yes. In last year's filing isn't it true that
7 they assumed a 0 percent utilization trend?

8 A. Yes that's true.

9 Q. Okay and you thought that zero -- that 0
10 percent utilization trend was too low so you recommended a
11 1 percent trend, correct?

12 A. That's correct. Yes.

13 Q. Okay, and could you read the first bullet on
14 page 10 please -- I'm sorry. First bullet on page 8 of
15 exhibit 10.

16 A. The three-year average annual utilization
17 trend was approximately 0.0 percent.

18 Q. Okay, and based on that bullet you still don't
19 believe that a 0 percent trend would be reasonable in this
20 case?

21 A. No I don't.

22 Q. Okay. Could you turn please to exhibit 5?
23 Are you there?

24 A. Yes.

25 Q. Okay. Then, Ms. Lee, you -- your

1 responsibility in this proceeding is limited to
2 determining whether or not a rate is excessive,
3 inadequate, or unfairly discriminatory, right?

4 A. That's correct. Yes.

5 Q. You're not opining on whether a rate is
6 affordable?

7 A. No.

8 Q. You're not opining on whether a rate promotes
9 quality of care?

10 A. No.

11 Q. You're not opining on whether the rate is good
12 overall policy, correct?

13 A. No.

14 Q. Okay. Could you read on page 4 of exhibit 5
15 question 9? This is a question that you were asked --
16 that you asked to MVP, correct?

17 A. Correct. This was a question we asked.

18 Q. Okay. Could you read that question please?

19 A. Describe what Vermont consumers were
20 considered in light of the current savings due to COVID-19
21 pandemic in this unprecedented time.

22 Q. Could you please explain to me what relevance
23 that question had to whether a rate is excessive,
24 inadequate, or uncaring?

25 A. It would answer the question of whether or not

1 they were excessive, if they wanted to consider it, how
2 recent events are changing or if they wanted to pose
3 something that was affordable.

4 Q. Well how does it -- I understand what you're
5 saying about that affordability, but you're not concerned
6 -- as an actuary you're not concerned with affordability,
7 correct?

8 A. That's correct, but we act on behalf of the
9 Board and I know this is a question the Board would have
10 liked to see the answer to. So we are also allowed to ask
11 questions that have -- that are under the standard of
12 review, but our recommendations do not have to follow that
13 same standard, the expanded standard of review, but that
14 doesn't mean we can't ask that because we know that the
15 Board has to make decisions based on that, and we are the
16 primary vehicle in which questions are asked, and so
17 therefore we have been asking these types of questions to
18 all the carriers that we have.

19 Q. Okay. You didn't, though, ask questions, did
20 you, about the adverse effects that a rate increase would
21 have on Vermonters, did you?

22 A. No.

23 MR. ANGOFF: That's all the questions I
24 have. Thank you very much, Ms. Lee.

25 MS. ABORJAILY: Thank you.

1 MR. BARBER: Any Board Members have
2 questions for Jackie?

3 MS. HOLMES: I do.

4 MS. LUNGE: Me as well. You go first,
5 Jess.

6 MS. HOLMES: Is that okay with you, Mr.
7 Hearing Officer?

8 MR. BARBER: Sure is.

9 MS. HOLMES: Okay great. Jackie, could
10 you just turn to exhibit 6 page 2?

11 MS. LEE: Certainly. Okay. I am there.

12 MS. HOLMES: Okay. This was the subject
13 of questions by both Maureen and I this morning and
14 I'm wondering the question was about the large
15 discrepancies between the actual pharmacy trend and
16 the expected pharmacy trend whereby the actual trend
17 was significantly lower than the expected for several
18 years in a row and we heard some testimony from Mr.
19 Lombardo. I'm just curious as to your reaction to
20 this table and how we should interpret it and how we
21 should think about pharmacy expected trends going
22 forward since they have been so far off in previous
23 years?

24 MS. LEE: Yes. This table definitely
25 shows that there, over the years, have been deviation

1 between the two. However, I would say from based on
2 my knowledge the actual trends that MVP has seen are
3 extremely low for pharmacy trend in the industry, and
4 so I would feel uncomfortable and kind of back to
5 actuarial soundness approving, you know, a proposed
6 trend of 3.6 let's say, for example, to be consistent
7 with the most recent year because I don't believe
8 that's an accurate representation of the future. I
9 do agree, though, that it calls into question kind of
10 the overall methodology and thought process of, you
11 know, how they are getting those trends, but again I
12 would feel very uncomfortable approving a trend this
13 low in the pharmacy realm just based on industry
14 standards and even the other, you know, carriers too
15 involved in this. It makes it difficult to approve
16 something this low.

17 MS. HOLMES: But if it's just one year I
18 can understand it might be an anomaly, but it's year
19 after year after year and so there's something
20 different about the population. Do the standards not
21 apply to this population? At what point do we say
22 the actual experience of MVP has to trump the
23 industry? How many years do we have to see this
24 before we say okay let's look at actual experience?

25 MS. LEE: Yeah I think another thing I

1 would add to that as credibility is concerned they
2 have not only -- like you could look over this like
3 combined all the years and to your point the result
4 would show it was significantly lower actually. Even
5 if you combine all the years together, however, they
6 did experience a lot of growth over these time
7 periods.

8 So I will still call into question some
9 credibility, and I would think then you would want a
10 little bit more time because their population has
11 changed so significantly over the last couple of
12 years, but I do think if this continued into future
13 years that would definitely be a question, and I
14 would be maybe even looking into how the PBM is,
15 looking at their trends because I think they get a
16 lot of information from them, but again if you're
17 thinking about Vermont, I question the overall. Even
18 if you combined the entire QHP block in Vermont,
19 you're talking about just pharmacy which is generally
20 speaking 20 percent which makes a small amount even
21 smaller, but I agree, but there is one year where
22 they were pretty close.

23 MS. HOLMES: That was the longest time
24 ago.

25 MS. LEE: Right it was, and it was when

1 they were extremely small because back then they had
2 a much smaller market share for sure.

3 MS. HOLMES: So you wouldn't make any
4 adjustments for this year based on this chart?

5 MS. LEE: Based on this chart alone no I
6 would not.

7 MS. HOLMES: Is there any additional
8 information that you would need to make an adjustment
9 based on what we're seeing here?

10 MS. LEE: I mean I think we dove into
11 that given we obviously asked this question and they
12 responded and we reviewed what they were talking
13 about, but I mean they even say up there they had a
14 lot of membership growth, and I tend to rely on
15 trends for PBM versus looking at actual to expecteds
16 because that can cause problems, but if you really
17 wanted to dig into it, I would want to really dive
18 into what is the PBM, how is the PBM calculated, what
19 type of population is using, how big is that
20 population, how many years are they looking at. You
21 also have specialty is a concern. Over the years
22 it's continued -- it's been large for a long time
23 now, but those are the types of things I would dig in
24 more deeper if you want to go down that road.

25 MS. HOLMES: Okay. My second question

1 is both carriers have acknowledged that there are
2 significant cost savings associated with increased
3 telemedicine in usage largely through this reduction
4 in ED and urgent care center usage. The other
5 carrier testified yesterday that they have included
6 this cost savings in their filing in their trend
7 calculations. This morning Mr. Lombardo testified he
8 did not. He assumed that there would not be an
9 impact in 2021. It would revert back to prepandemic
10 usage. So as an actuary how might you adjust this
11 filing to account for the acknowledged cost savings
12 associated with telehealth usage and telemedicine
13 usage.

14 MS. LEE: I would definitely consider it
15 from potential savings for like emergency department
16 reductions, however, I do believe that some of those
17 have returned unfortunately unlike what we would have
18 anticipated, but the other problem with -- that I see
19 with telemedicine, and while you want to be able to
20 decrease rates for it, typically it's reimbursed at
21 the same rate as an office visit, and the entry to
22 barrier -- the barrier to entry is quite small. So
23 utilization can actually be higher because now you
24 don't have to take a half day off work to go to the
25 doctor. You just get in the waiting room and on your

1 cell phone and pick it up.

2 So what I have done and Traci who is on
3 this call wrote an article about telemedicine is that
4 there's not a significant cost savings aside from
5 that emergency department, and if that's not being
6 seen or reflected -- being seen, then I don't know
7 that it should be reflected in the 2021 rates.

8 MS. HOLMES: Thank you.

9 MR. BARBER: Robin.

10 MS. LUNGE: Thank you. Hi Jackie. You
11 were involved in reviewing the Blue Cross Blue Shield
12 filing as well, weren't you?

13 MS. LEE: Yes. That's correct.

14 MS. LUNGE: So you're familiar with the
15 approach that Blue Cross took and the assumptions
16 that they made in relationship to the COVID-19 cost?

17 MS. LEE: Yes.

18 MS. LUNGE: They in fact had a 0 rate
19 increase; is that right?

20 MS. LEE: That's correct.

21 MS. LUNGE: Did you find or did L&E find
22 that approach to be reasonable?

23 MS. LEE: We did.

24 MS. LUNGE: So let me give you a
25 hypothetical. If MVP had taken the same approach and

1 included a 0 percent rate increase, would you have
2 found that to be reasonable?

3 MS. LEE: Yes I do.

4 MS. LUNGE: In terms of the hospital
5 budget filings are you expecting to have the Board's
6 approved budget filings by the time decisions are
7 made in this rate filing?

8 MS. LEE: No we will not.

9 MS. LUNGE: So we'll have what the
10 hospitals have submitted as a request; is that right?

11 MS. LEE: Yes that's correct.

12 MS. LUNGE: So in your prior reviews of
13 the budget impacts has the Board typically approved
14 the budgets as filed every year?

15 MS. LEE: No. They are not typically
16 approved as filed.

17 MS. LUNGE: Thank you. That's all my
18 questions.

19 MR. BARBER: Maureen.

20 MS. USIFER: Just one question. If we
21 talk about administrative costs and if an insurance
22 carrier only had one book of business and the book of
23 business grew by 20 percent and fixed costs were
24 fixed half of the administrative and the rest were
25 variable, would that generate about a 10 percent

1 reduction in administrative costs?

2 MS. LEE: I think if I followed you --
3 yeah if I followed your math correctly, I think so.
4 I think you said a 20 percent increase and then half
5 of that would be attributable, but I think yeah
6 that's what you're saying.

7 MS. USIFER: Okay. All right. That's
8 what I thought. Thanks.

9 MR. BARBER: Tom.

10 MR. PELHAM: I was just looking
11 something up. I want to follow up on Robin's
12 question about the proposed hospital budgets and you
13 know that we'll not have decided by the time
14 necessary what the actual budget will be, but I do
15 want to note that our budget guidance for 2021 does
16 specify a target of 3 and a half percent. So that
17 does give some sense and the Board has voted on that.
18 So yes it's possible we'll be seeing many new
19 budgets, but we have set a target. Whether or not we
20 stick to it is another issue, but it's all up to
21 conjecture on both sides I think.

22 MR. BARBER: Mr. Chair.

23 CHAIRMAN MULLIN: No questions.

24 MR. BARBER: Amerin, do you have any
25 redirect.

1 MS. ABORJAILY: Yes I do. Thank you.

2 REDIRECT EXAMINATION

3 Q. Hi Jackie.

4 A. Hi.

5 Q. Could we move to -- back to exhibit 10 page
6 10?

7 A. Yes.

8 Q. Maybe I don't need page 10. I lost my place
9 here. Page 11. I apologize.

10 A. Okay.

11 Q. So you were asked some questions on cross
12 about the L&E's use of the flu vaccination as an estimate,
13 and I was wondering if you could explain a little bit more
14 about why you felt the flu vaccination would be a good
15 comparison for this assumption?

16 A. Sure. The 80 percent that MVP assumed in
17 their original filing was based on a report published by
18 Wakely. We definitely thought that, you know, at the time
19 that was one of the first to come out, but it was very
20 illustrative in nature which was why we did a separate
21 analysis on what would we have assumed if we were in MVP's
22 shoes about what the vaccination would look like. So we
23 went about many different scenarios in our minds as well
24 as I'm sure MVP did, and we also utilized the SOA model
25 when it was published. It was published after the

1 submission of MVP's filing, but it did come out in the
2 middle of June and so we looked at that. We looked at
3 some varying assumptions about what percentage we would
4 put in there based on when a vaccine would come into play,
5 and we also had significant concerns about the supply of
6 such vaccines, that vaccine being able to be widely
7 available in early 2021 which is what they had indicated
8 in their filing, and so we went through all of that and
9 then basically came to an assumption that was very close
10 to the 55 percent which also correlated with the flu
11 vaccination rate. So based on all those things that we
12 just settled on the flu vaccination rate.

13 Q. So when looking at this issue were you
14 comparing the flu as a virus to COVID-19 as a virus from a
15 health perspective?

16 A. No. I mean there are similarities, but
17 obviously we know much more about the flu, but no it
18 really wasn't a direct comparison between the two viruses
19 and how the two viruses have handled one another. No. It
20 was more about trying to find the best assumption for the
21 rate in which people would be vaccinated.

22 Q. And could you talk a little bit more about
23 what the supply concerns might be?

24 A. Sure. I mean I think we've all been a part of
25 where is the toilet paper, now we don't have masks, and I

1 just think it's very obvious that there's going to be
2 issues with being able to widely -- make this widespread
3 -- in the earlier cross it was about, you know, the whole
4 world has been impacted. So we're not just talking about
5 just the United States. We're talking about the entire
6 world and from our research and our learnings of it
7 there's going to be a priority system that comes out
8 making sure that, you know, the people who are in the
9 greatest need and the highest risk categories are going to
10 get the vaccine first.

11 So as of right now that would be the older
12 population, it would be like the Medicaid population,
13 Medicare population would be first, then you would have
14 probably health care workers after that, and then even
15 further those with underlying conditions, but the young
16 and healthy individuals are going to be last on this list;
17 and additionally MVP does have the younger and healthier
18 members based on the risk transfer assumption and
19 adjustment that they end up paying out. So in general we
20 thought it was really aggressive to assume 80 percent
21 would get vaccinated, and so again more than what our
22 report implies we did a lot of research on what the
23 vaccination rate should be during our analysis.

24 Q. Thank you. Could you turn to exhibit F next?

25 A. Exhibit F, yes.

1 Q. And could you please go to page 114?

2 A. Yes.

3 Q. Now Mr. Karnedy asked you a few questions
4 about -- well he asked you to read a sentence from this.

5 A. Yes.

6 Q. And this was not something we had covered
7 earlier. I was wondering if there was anything else you
8 would like to say about that sentence and how it relates
9 to the chart above?

10 A. Yeah. I would say in general I don't really
11 like how this sentence was written. It is clear that
12 across all scenarios that is not a true statement because
13 directly above it there is a scenario in which the health
14 care costs they do decline in 2020, but then they do not
15 rebound in 2021. So I think that sentence is not properly
16 stated because there is a scenario, and again if you
17 utilize the model and put that information into the SOA
18 model, you do get a lot of scenarios where whether it's
19 called successful suppression you can't have the pent-up
20 demand be accounted for within 2020 and not go into 2021.

21 Q. Could we go back to page 110 in exhibit F
22 please?

23 A. Okay.

24 Q. And again you were asked questions -- well you
25 were asked to read sentences from this page and I was

1 wondering if you had anything to add having read those
2 sentences?

3 A. No. I mean I think they are accurate, but it
4 doesn't express any information about timing. So the
5 utilization of services are likely to increase, but it
6 doesn't -- and peak above normal levels, but it doesn't
7 mean that's necessarily going to happen in 2021 because it
8 certainly doesn't say that and it doesn't give any sort of
9 time from around it. It could be much later than that.
10 There are just -- there's a lot of uncertainty about it
11 and picking a couple of sentences out of this entire
12 report just really doesn't do the entire report justice.

13 Q. And, lastly, if we could turn back to exhibit
14 10, page 8, this is on the utilization trends.

15 A. Yes.

16 Q. Mr. Angoff was asking you some questions about
17 the 0 percent from the 2019 filing, MVP's original filing,
18 in 2019 and this year's filing, and you looked at .1, the
19 first bullet point here, on page 8, and I was wondering if
20 you wanted to explain how you came to the conclusion that
21 a 1 percent would be reasonable and appropriate?

22 A. Right. Like I've already addressed there were
23 analyses that did produce a 0 percent as a potential
24 utilization trend. However, given the enrollment
25 increases that MVP has seen it's not appropriate. Just

1 like when I talked with Jess about the pharmacy trend it's
2 not appropriate to really take those things at face value.
3 You have to really consider what's happening over the
4 entire time frame, and for utilization trend, especially
5 as they have increased enrollment, they have started to
6 show much higher utilization trends. In fact, for 2018 to
7 2019, that's bullet point number 3, outlines it's
8 approximately 2.5 percent. So if we wanted to pick a
9 particular assumption, we could have also possibly
10 recommended 2.5 because it's in the realm of reasonable,
11 but again we were worried about credibility. It's a fast
12 growing block and so we preferred the analysis that was
13 based on all four years of data and something that was in
14 line with prior years, and we will continue to monitor
15 this assumption because as we've seen across all of
16 Vermont there's certainly a positive utilization trend,
17 but we do recognize that there are differences in the
18 populations between the two carriers that could cause the
19 gap that we're seeing, but certainly not to the point
20 where there should be no utilization trend assumption at
21 this point.

22 Q. Thank you, Jackie. I have no further
23 questions. Thank you.

24 MR. BARBER: Thank you. Mr. Karnedy,
25 any additional cross on the redirect?

1 MR. KARNEDY: Yes.

2 RE-CROSS EXAMINATION

3 BY MR. KARNEDY:

4 Q. If I might, I just want to clarify you asked
5 more questions about a particular page of exhibit F.

6 Jackie, if you could go there please. I'm sorry, Ms. Lee.

7 A. That's fine. You can call me Jackie since I
8 can call you Gary.

9 Q. I didn't ask you about it. You made reference
10 to the table above the three scenarios squiggly lines. Do
11 you see that?

12 A. I do.

13 Q. And you said I think your point was it's not
14 across all scenarios, right? If you look up there, the
15 blue line goes back to the baseline in 2020, correct? Is
16 that your point?

17 A. That's my point, yes.

18 Q. Okay, but just to be clear the red line or
19 maybe that's orange, hard to tell, and the green line, the
20 red line doesn't get back to normal until September and
21 the green line is not until November, is it?

22 A. That's correct.

23 Q. And MVP's assumption is April, isn't it?

24 A. It is April.

25 MR. KARNEDY: Thank you very much.

1 MS. LEE: Thanks.

2 MR. KARNEDY: No further questions.

3 MR. BARBER: Mr. Angoff, any further
4 questions?

5 MR. ANGOFF: No questions.

6 MR. BARBER: Great. Then I think we can
7 move on to our last witness Mike Fisher. Thank you,
8 Jackie. Take a minute, and Mr. Fisher -- Jay, I
9 assume you won't be asking Mr. Fisher questions. He
10 will just be providing comments as in prior years?

11 MR. ANGOFF: Yes, sir.

12 MR. BARBER: Gary, I understand your
13 issue with objections as in past years. Do we need
14 to talk about that at all?

15 MR. KARNEDY: I just would -- I think
16 Mr. Fisher's done a good job of being careful, and if
17 I had to interrupt, I'll do it respectfully if that
18 makes sense. I haven't had to in prior years.

19 MR. BARBER: Mr. Fisher, could you
20 please raise your right-hand?

21 (Michael Fisher was duly sworn.)

22 MR. BARBER: Thank you. Go ahead.

23 MR. FISHER: Good afternoon. Thank you
24 again for another riveting day, and I guess I want to
25 -- I really do want to take a moment to appreciate

1 this process. It is painfully slow and it is
2 difficult, but I think it's also very important and I
3 just think it's very important, and I also recognize
4 that I often, as the Health Care Advocate, am
5 critical of the decisions made at tables like this.
6 I'm critical often because of the outcome for Vermont
7 families and for Vermont small businesses. I know
8 that many Board Members share my critique that
9 insurance rates are already too high for a broad set
10 of Vermonters, and therefore -- that's not a train in
11 my neighborhood in Lincoln -- that any increase is
12 unaffordable, and I fully recognize that the reasons
13 for much of this have nothing to do with MVP or any
14 insurer. It is all of the health care decisions put
15 together.

16 As I listened to the testimony this
17 morning I got the impression that MVP's perspective
18 of the course of the coronavirus, this has been
19 talked about just recently, it was quite positive
20 that the virus had played out significantly. The
21 statement that 2021 is predicted to be a normal year
22 was said over and over again this morning, and wow
23 while that felt great, I had a moment of hopefulness
24 listening to that, but then during lunch I did what I
25 do too often. I went and checked the news and I went

1 and checked the numbers and I went and checked some
2 of the discussion about the challenges in front of us
3 and my optimism was crashed a little bit. I know
4 that none of us can have confidence about how the
5 spread of coronavirus will be in 2021. Each of us,
6 or maybe more accurately each of you, have to
7 evaluate your prediction because none of us can know
8 about how reasonable it is that 2021 will be a normal
9 or a preCOVID-19 year. I honestly hope I'm wrong and
10 -- but I just feel the need to say that.

11 There was an interesting juxtaposition
12 between that discussion that the fear that led to so
13 many people not getting care was something in the
14 past and then there was -- we had a brief distraction
15 this morning and a lighthearted chat about how the
16 impact of the virus was affecting our own personal
17 lives, and I thought that was an interesting
18 juxtaposition. Forgive me for a slight distraction.
19 It reminded me of this incredible disconnect that
20 we're in right now taking place about whether schools
21 should go back to in-person instruction. In
22 community after community decision makers are meeting
23 remotely to decide whether schools should meet in
24 person. I'm married to a school employee.

25 So from the advocate's perspective the

1 fear that led to so many people avoiding care in the
2 last few months is alive and well, and that if we
3 continue to have a very low incidence of the disease
4 here in Vermont, my prediction is that that fear will
5 abate, but if the virus comes back with any increased
6 numbers, that fear will again result in reduced
7 non-COVID-19 claims.

8 I'll skip that part. So thank you to
9 the more than 800 Vermonters who commented so far to
10 you. I look forward to sitting with the Board
11 tonight to hear more comments. Those comments don't
12 come with any analysis of industry standards or how
13 MVP or the other insurer compares to other insurers.
14 They don't base their perspectives having talked to
15 actuaries or having any concept of actuarial
16 standards. They come with an expertise in their own
17 lives, an expertise in their own budgets, and
18 expertise in how the coronavirus is impacting them.

19 Long and short Vermonters can't afford
20 any increase. Vermonters need a break particularly
21 while they are under such overwhelming pressures.
22 There is a great deal of unpredictability every year,
23 but this year especially. MVP says because there's
24 such unpredictability that they should get a rate
25 increase and that they should be able to put more

1 money in their reserves. As the advocate I have to
2 say because there's such unpredictability about the
3 virus and because there's a great deal of very
4 predictable sacrifice on the part of Vermonters that
5 I have to call for Vermonters to get a break from any
6 rate increase this year. Thank you.

7 MR. BARBER: Thank you, Mr. Fisher. So
8 I think there's no more witnesses today so we'll move
9 on to closing statements. Does anyone need a couple
10 minutes before we do that or everyone ready to go?
11 Gary, you will be first.

12 MR. KARNEDY: I'm ready.

13 MR. BARBER: Okay. Go ahead.

14 MR. KARNEDY: I'll try to be brief.
15 First of all, I want to thank the Board for their
16 time and attention today. I would note it's 3:07.
17 It's not 8:07 at night. So I hope we get points for
18 that all of us. 6.06 proposed rate increase for MVP
19 is supported by the evidence and I want to make four
20 points about that.

21 First, and then this relates to
22 vaccinations, this is a .3 percent issue. A .3
23 percent issue. I think the evidence has shown that
24 the notion the people of Vermont are going to get
25 vaccinations for this pandemic which is killing

1 people, that only 55 percent of Vermonters will get
2 the vaccine because it's like flu shots they get each
3 year, that denies the evidence and defies common
4 sense. 80 percent will. Look at the measles, look
5 at the mumps which are greater than 90 percent.
6 That's the first one.

7 Second, I've got two points on the
8 pent-up demand issue. Pent-up demand issue is a .3
9 percent issue. If you look at exhibit F, which you
10 just heard Ms. Lee testify to that apparently L&E
11 relied on and used that model in their modeling and
12 their conclusions on pent-up demand, if you go to
13 page 114 of that exhibit F, you look at this graph we
14 were just talking about a moment ago, we've got three
15 scenarios; one is get back to the baseline at the end
16 of 2020, the other two scenarios we don't get back to
17 the baseline until September or November. This is
18 something that they relied on. This is something
19 that Matt Lombardo relied on. The point is MVP has a
20 reasonable position saying end of April. We'll be
21 back to the baseline at the end of April. That's a
22 reasonable position. It's in the middle.

23 The second point on pent-up demand is
24 this notion that doctors and hospitals would make a
25 medical care decision on the timing of treatment for

1 their patients based on whether they are receiving
2 government assistance or not is simply not credible.
3 MVP's estimate of the catch-up period at the end of
4 this year and beginning of next year is credible.
5 It's reasonable. It's based on credible evidence.

6 Fourth point. Any decision on a final
7 rate by the Board should, of course, take into
8 consideration the interrelationship of the statutory
9 criteria to ensure a reduction on any one criterion
10 doesn't result in rates being inadequate. Thank you
11 very much for your time today.

12 MR. BARBER: Thank you, Mr. Karnedy. I
13 just realized that I did not give the Board an
14 opportunity to ask questions of Mr. Fisher. I think
15 in my mind I was remembering to yesterday and there
16 were no questions. I feel the need to check. Does
17 any board member have any questions for Mr. Fisher?

18 MS. LUNGE: I don't have a question, but
19 I would make the same briefing request which I think
20 you guys can do.

21 MR. FISHER: Absolutely. Thank you,
22 Robin. We would be happy to respond to that
23 question.

24 MR. KARNEDY: I don't know what you're
25 talking about.

1 MR. BARBER: So let me explain. I think
2 in connection with Mr. Fisher's suggestion that the
3 Board should approve no rate increase Robin's request
4 was that in the briefing HCA explain how that
5 complied with ACA requirements and the Board's
6 standard of review. Is that correct, Robin?

7 MS. LUNGE: Yes. Thank you.

8 MR. KARNEDY: Thank you for clarifying.

9 MR. BARBER: I apologize for that
10 oversight. Mr. Angoff, proceed.

11 MR. ANGOFF: The Board said it better
12 than I can or better than I have. Much less long
13 winded way than I have. Member Holmes said the key
14 question is who can better bear the loss. The Chair
15 said aren't there assumptions that are just as likely
16 as the assumptions that MVP made.

17 In answer to the question who can better
18 bear the loss obviously we're not -- this is not --
19 we're not asking -- we're asking for a 0 percent rate
20 increase. We're not asking for nothing. We think
21 there are assumptions that are just as likely and we
22 will show in our post hearing brief what those
23 assumptions are. They easily produce a 0 percent
24 rate increase. We think there are other assumptions
25 that can produce a decrease.

1 The assumptions that they make are always in
2 their favor. The assumption of about when a vaccine
3 is going to be available. Sure we all hope one will
4 be available in 2021 but we don't know that, but
5 based on that hope they are charging their
6 policyholders. They are assuming that providers will
7 be at a hundred percent -- 110 percent of capacity
8 beginning in August of this year through April of
9 next year. 110 percent capacity is a lot,
10 particularly in August. I think that assumption is
11 just patently unreasonable, but they included it in
12 their rate filing. They say 2021 will be like 2019.
13 Maybe it will, maybe it won't, but we don't know, and
14 at this time we don't have the luxury of adopting
15 assumptions that are going to raise the rate when
16 there are other reasonable assumptions that will
17 reduce the rate compared to the way they treat --
18 they adopt assumptions that are highly doubtful that
19 benefit them. They disregard not just assumptions
20 but facts that benefit the policyholder. I was
21 floored when Mr. Lombardo said we're not including
22 the 1.7 million bucks that we get because the Supreme
23 Court ruled for the industry in the risk corridor
24 litigation. The 1.7 million dollars is not all the
25 money in the world, but the difference in how quick

1 they are to adopt assumptions that raise the rate for
2 the public and how adopting assumptions and in some
3 cases in fact that reduce the rate for the public to
4 me is striking. Both Mr. Lombardo and Ms. Lee
5 acknowledged that there are lots of other scenarios
6 that could take place.

7 One final consideration. MVP is no
8 longer just a small part of the market. MVP is
9 almost half the market. So what the Board does with
10 this filing is very, very significant. Much more
11 than it's been in any past year. I think also the
12 significance of a 0.0 percent increase is very
13 important. I plead with the Board not to cut -- not
14 to cut the rate increase to 3.5 or 2.8 but -- or 1.7
15 but to 0.0. I think it's very important to send a
16 message to the carriers, send a message to actuaries,
17 and send obviously most important a message to all
18 Vermonters that rates -- there is no natural law that
19 rates must increase every year.

20 The question who can better bear the loss that
21 is a question that is irrelevant to actuaries. Ms.
22 Lee so testified. Everybody knows that. Who can
23 better bear the loss is irrelevant to actuaries. It
24 is not irrelevant to the Board. So I ask the Board
25 to disapprove MVP's proposal, disapprove L&E's

1 recommendation, and adopt a 0.0 percent increase for
2 this year's rate. Thank you very much for your
3 patience. I appreciate it.

4 MR. BARBER: Thank you. So there's a
5 couple procedural matters I want to talk about before
6 we wrap up the hearing and move to public comment. I
7 see Gary stepped away from his desk for a minute so
8 we'll just give him a minute.

9 MR. KARNEDY: I just grabbed my calendar
10 in case we're doing any dates and discussions.

11 MR. BARBER: I really just wanted to
12 give you both notice that while the hearing was going
13 on DFR issued two bulletins, Bulletin 214 and 215.
14 214 is the final version of the draft policy or
15 bulletin I guess. Final version of the draft
16 bulletin that's in the binder. Bulletin 215 is about
17 resumption of provider audit activities. I'm giving
18 you notice now that I plan to take judicial notice --
19 administrative notice of these bulletins and you have
20 until the end of the week to let me know if you have
21 any objections to that. I think they are appropriate
22 subjects for judicial notice.

23 MR. KARNEDY: May I just speak briefly
24 on that point? It might help to cut to the chase. I
25 believe we may be in agreement on it which is we have

1 a record in this proceeding, we have exhibits in this
2 proceeding, and it seems to me that for purposes of
3 briefing we could reference these bulletins, but I
4 don't see how they are part of this record and they
5 are put at the end of the evidentiary hearing. I
6 just don't see how that works. We can brief them as
7 they are effectively a form of law we can cite to as
8 opposed to taking judicial notice as exhibits in this
9 proceeding.

10 MR. BARBER: If you agree they are
11 essentially law, then I guess they are not
12 adjudicative facts they are legislative facts and we
13 can just proceed that way.

14 MR. KARNEDY: I think that would be the
15 better approach unless Jay disagrees or are smarter
16 than I on this.

17 MR. ANGOFF: I don't have a strong
18 feeling.

19 MR. BARBER: Okay. So they are
20 essentially law so the parties can cite them in their
21 briefs and the Board can treat them as such. Is
22 there anything else we need to talk about? That's
23 the only thing I had before we wrap up and move to
24 public comment.

25 MR. KARNEDY: I did -- if it's

1 appropriate, we can just talk scheduling for a
2 moment, and that is I believe, Hearing Officer
3 Barber, you were preparing a list of follow-up
4 questions for MVP, and in the past -- I may not have
5 this quite right -- you will send an e-mail in a day
6 or two with the list and then we're given some time
7 to respond to it. I don't remember the exact dates.
8 I remember it was kind of do it in five days or
9 relatively quickly. So I just wanted to confirm that
10 you'll be forwarding an e-mail in contrast to us
11 trying to figure out from our notes what we're
12 supposed to do.

13 MR. BARBER: That's correct. We will be
14 reviewing our notes of the hearing making sure we
15 have things correct and sending you a formal request
16 for followup items with a deadline.

17 MR. KARNEDY: The last thing on my list
18 was just asking JoAnn when she would have the
19 transcripts done.

20 (Off-the-record discussion.)

21 MR. KARNEDY: That's all I had. Thank
22 you.

23 MR. BARBER: Okay. So are there members
24 of the public who are present who would like to make
25 a comment regarding this filing? (No response.) I

1 don't hear anybody. If there is anyone on the line,
2 again we're having a separate meeting from 4:30 to
3 6:30 specifically for public comments. The
4 information regarding that meeting is on our web site
5 so that's another opportunity for people to comment.
6 So again if there's no comments from the public, then
7 I think we can all turn back over to you, Mr. Chair,
8 to adjourn the meeting.

9 CHAIRMAN MULLIN: Thank you, Mike.
10 Thanks for two great days of serving as a Hearing
11 Officer. These meetings went very orderly.
12 Yesterday was a little long and we're very grateful
13 for today. Fortunately Gary did take off his jacket
14 so probably sped things up. With that is there a
15 motion to adjourn?

16 MS. HOLMES: So moved.

17 CHAIRMAN MULLIN: Is there a second?

18 MS. USIFER: Second.

19 CHAIRMAN MULLIN: All those in favor
20 signify by saying aye.

21 (Board Members respond aye.)

22 CHAIRMAN MULLIN: Any opposed?

23 (No response.)

24 CHAIRMAN MULLIN: So I'll see everybody
25 back here at 4:30. Thank you.

1 (Adjourned at 3:25 p.m.)


2 C E R T I F I C A T E

3 I, JoAnn Q. Carson, do hereby certify that
4 I recorded by stenographic means the hearing re: Docket
5 Number GMCB-006-20rr via Microsoft Teams on July 21, 2020,
6 beginning at 8 a.m.

7 I further certify that the foregoing
8 testimony was taken by me stenographically and thereafter
9 reduced to typewriting, and the foregoing 225 pages are a
10 transcript of the stenograph notes taken by me of the
11 evidence and the proceedings, to the best of my ability.

12 I further certify that I am not related to
13 any of the parties thereto or their Counsel, and I am in
14 no way interested in the outcome of said cause.

15 Dated at Burlington, Vermont, this 23rd day
16 of July, 2020.

17
18
19 

20
21 _____
22 JoAnn Q. Carson

23 Registered Merit Reporter

24 Certified Real Time Reporter
25